

00001

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

CENTERS FOR MEDICARE AND MEDICAID SERVICES
Medicare Coverage Advisory Committee

October 6, 2005

Centers for Medicare and Medicaid Services
7500 Security Boulevard
Baltimore, Maryland

00002

- 1 Panelists
- 2
- 3 Vice Chairperson
- 4 Barbara J. McNeil, M.D., Ph.D.
- 5
- 6 Voting Members
- 7 Harry B. Burke, M.D., Ph.D.
- 8 Mark Fendrick, M.D.
- 9 Lishan Aklog, M.D.
- 10 Marc L. Berger, M.D.
- 11 Kim J. Burchiel, M.D.
- 12 Robert H. Christenson, Ph.D.
- 13 Robert S. McDonough, M.D.
- 14 Alexander Emyr Khan Ommaya, Sc.D., M.A.

- 15 Deborah Shatin, Ph.D.
- 16
- 17 Voting Member/Patient Advocate
- 18 Leslie B. Fried, J.D.
- 19
- 20 HCFA Liaison
- 21 Steve Phurrough, M.D., M.P.A.
- 22
- 23 Consumer Representative
- 24 Linda A. Bergthold, Ph.D.
- 25

00003

- 1 Panelists (Continued)
- 2
- 3 Industry Representative
- 4 Kim K. Kuebler, M.N., R.N.
- 5
- 6 Guest Expert Panelists
- 7 John S. Kirkpatrick, M.D., F.A.C.S.
- 8 Sean D. Sullivan, Ph.D.
- 9 Kenneth Koval, M.D.
- 10 Barbara D. Boyan, Ph.D.
- 11
- 12 Executive Secretary
- 13 Kimberly Long
- 14
- 15
- 16
- 17
- 18
- 19
- 20
- 21
- 22
- 23
- 24
- 25

00004

- 1 TABLE OF CONTENTS
- 2 Page
- 3

4	Opening Remarks	
5	Kimberly Long/Steve Phurrough/	
6	Barbara J. McNeil	7
7		
8	Introduction of Panel	9
9		
10	CMS Summary and Presentation of Voting	
11	Questions	
12	Shami Feinglass, M.D., M.P.H.	13
13		
14	Presentation of the Technology Assessment	
15	Karen Schoelles, M.D., M.S.	14
16		
17	Questions from the Panel	32
18		
19	Presentation	
20	David B. Carmack, M.D.	38
21		
22	Questions from the Panel	50
23		
24	Presentation	
25	Alan J. Jones, M.D.	58

00005

1	Table of Contents (Continued)	
2		
3	Questions from the Panel	72
4		
5	Scheduled Public Comments	
6	Randy Davis, M.D.	83
7	Kyle Dickson, M.D.	90
8	Cato T. Laurencin, M.D., Ph.D.	96
9	James Marotta, Ph.D.	104
10	Roy Aaron, M.D.	113
11	Skip Whitman, M.D.	121
12		
13	Questions from the Panel	127
14		
15	Open Public Comments	
16	Janet Conway	146
17	Ann Steforak	148
18		
19	Lunch	150

20
21 Questions to Presenters 150
22
23 Open Panel Discussions
24 Barbara J. McNeil, M.D., Ph.D. 186
25

00006

1 Table of Contents (Continued)
2
3 Panel discussion on Amending Questions 222
4
5 Formal Remarks and Vote 258
6
7 Adjournment 283
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

00007

1 PANEL PROCEEDINGS
2 (The meeting was called to order at
3 8:18 a.m., Thursday, October 6, 2005.)
4 MS. LONG: Good morning, everyone. I
5 am Kimberly Long, executive secretary for the
6 Medicare Coverage Advisory Committee. The
7 committee is here today to discuss the evidence,
8 hear presentations and public comment, and make

9 recommendations regarding treatments for bone
10 fractures that fail to progress to union.
11 The following announcement addresses
12 conflict of interest issues associated with this
13 meeting and is made part of the record. The
14 conflict of interest statutes prohibit special
15 government employees from participating in matters
16 that could affect their or their employer's
17 financial interests. Each member will be asked to
18 disclose any financial conflict of interest during
19 their introduction. We ask in the interest of
20 fairness that all persons making statements or
21 presentations also disclose any current or
22 previous financial involvement in any orthopedic
23 device company. This includes direct financial
24 involvement, investment, consulting fees and
25 significant institutional support. If you haven't

00008

1 already received a disclosure statement, they are
2 available at the table outside of this room.
3 We ask that all presenters please
4 adhere to the time limits. We have numerous
5 presenters to hear from today and a very tight
6 agenda, and therefore cannot allow extra time.
7 There is a timer at the podium that you should
8 follow. The light will begin flashing when there
9 are two minutes remaining and then turn red when
10 your time is up. Please note that there is a
11 chair in front of the stage for the next speaker,
12 and proceed to the chair when it is your turn.
13 For the record today, voting members
14 present are Leslie Fried, Lishan Aklog, Marc
15 Berger, Kim Burchiel, Harry Burke, Robert
16 Christenson, Mark Fendrick, Alex Ommaya, and
17 Deborah Shatin. A quorum is present and no one
18 has been recused because of conflict of interest.
19 The entire panel, including nonvoting
20 members, will participate in the voting. The
21 voting scores will be displayed on the screen
22 following the meeting. Two averages will be
23 calculated, one for the voting members and one
24 for the entire panel.

25 Two quick announcements: Anyone

00009

1 requiring transportation following the meeting
2 should sign up at the registration desk during the
3 break. And also for the panel members, if you
4 could please speak into the mike, you may have to
5 move them since we have to share.
6 I would now like to turn the meeting
7 over to our director, Dr. Steve Phurrough.
8 DR. PHURROUGH: Thank you, Kim, and I
9 just want to welcome everyone to the meeting today
10 and thank the panel for their agreeing to be part
11 of this. We find these to be extremely helpful in
12 our decision-making process and appreciate your
13 participation. And with that, let me introduce
14 our panel chairman today, Dr. Barbara McNeil.
15 DR. MCNEIL: Hi. I would like to
16 welcome you as well, and what I would like to do
17 now is do a 30-second introduction on behalf of
18 all members of this committee, and they will
19 indicate whether or not they have any conflicts of
20 interest as well.
21 I'm Barbara McNeil, from the Department
22 of Health Care Policy at Harvard Medical School
23 and the Department of Radiology at the Brigham and
24 Women's, and I have no conflicts.
25 MS. FRIED: I'm Leslie Fried, I'm from

00010

1 the American Bar Association Commission on Law and
2 Aging. I direct the Medicare Advocacy Project for
3 the Alzheimer's Association, and I have no
4 conflicts of interest.
5 DR. AKLOG: My name is Lishan Aklog. I
6 am associate chief of cardiac surgery at Mount
7 Sinai Medical Center and I have no conflicts of
8 interest to disclose.
9 DR. BERGER: Marc Berger, vice
10 president of outcomes research and management for
11 Merck & Company, Inc. No conflicts of interest.
12 DR. BURCHIEL: I'm Kim Burchiel, I'm
13 the chairman of the department of neurological

14 surgery at Oregon Health and Science University,
15 and I have no conflicts.

16 DR. BURKE: Harry Burke, associate
17 professor of medicine at George Washington
18 University, and I have no conflicts.

19 DR. CHRISTENSON: Bob Christenson,
20 professor of pathology, University of Maryland
21 Medical Center, no conflicts of interest to
22 disclose.

23 DR. FENDRICK: Mark Fendrick, professor
24 of internal medicine and health, University of
25 Michigan. No conflicts.

00011

1 DR. McDONOUGH: Bob McDonough, Aetna,
2 Inc., no conflicts.

3 DR. OMMAYA: Alex Ommaya, director at
4 the Institute of Medicine. No conflicts.

5 DR. SHATIN: Deborah Shatin, Center for
6 Health Care Policy and Evaluation, United Health
7 Group. No conflicts of interest.

8 MS. KUEBLER: Good morning. Kim
9 Kuebler, regional medical scientist for Banneker
10 Ingelheim, representing industry. No conflicts of
11 interest.

12 DR. BERGTHOLD: Linda Bergthold, Watson
13 Wyatt, no conflict.

14 DR. KIRKPATRICK: John Kirkpatrick,
15 orthopedic surgeon from the University of Alabama
16 at Birmingham. I do have the appearance of
17 conflicts of interest as I hold stock in Zimmer
18 and Johnson & Johnson. Thanks.

19 DR. SULLIVAN: Sean Sullivan, professor
20 of public health and medicine at the University of
21 Washington. No conflicts of interest.

22 DR. KOVAL: Ken Koval, professor of
23 orthopedics at Dartmouth-Hitchcock Medical Center.
24 I am a consultant for Stryker and I was previously
25 a consultant for Pugh.

00012

1 DR. BOYAN: Barbara Boyan. I am a
2 professor at the Institute of Bioengineering and

3 Bioscience at the Georgia Institute of Technology
4 and the Center for Orthopedics at Emory University
5 Medical School. I have a grant from EDI and from
6 Orthobiologics, which previously owned one of the
7 electrical stimulation devices. I also own stock
8 in Osteobiologics, which is an Orthobiologics
9 company, and I am on the board of directors at
10 Archer.

11 DR. MCNEIL: Thank you very much. I
12 think what we will do is move right on to the
13 presentation of the summary questions that we will
14 be voting on, and ask Dr. Feinglass to make the
15 presentation. I would like to reiterate what Kim
16 indicated, and that is that we will be keeping to
17 a very, very tight time line.

18 I would also encourage all the speakers
19 to say what you want to during the morning
20 presentation. After lunch the panel will have
21 questions for you, but once we go into open panel
22 deliberations, I expect that the deliberations
23 will largely be conducted among members of the
24 panel. There may be a rare question on facts that
25 we would like to get from the audience. That

00013

1 said, therefore, you should put as much
2 information as you possibly can within your time
3 limit during the morning session. Ideally, the
4 information should be posited towards the
5 questions that we are going to be answering.
6 Extraneous information is good, but if it doesn't
7 get us to the questions, it's not going to be very
8 helpful. Another point is that redundancy from
9 one speaker to the next also isn't terribly
10 helpful.

11 So with that in mind, Dr. Feinglass.

12 DR. FEINGLASS: Good morning. I think
13 we're having a few technical difficulties with my
14 screen; I can see it, you can't, but that should
15 be fixed shortly.

16 Today we're going to be speaking about
17 nonunion fractures and modalities used to treat
18 them. As many of you know, there are some

19 controversies about the definition of nonunion and
20 there are some controversies around about the
21 treatments. The goals of this MCAC are to address
22 some of these controversies.

23 In lieu of time, I'm going to fly
24 through these questions, you have all seen them.
25 There are eight. You should have picked up some

00014

1 printouts out front if you don't have them with
2 you.
3 And the presenters are Karen Schoelles,
4 who is presenting the technology assessment that
5 is from ECRI. There will also be David Carmack,
6 who is the medical director at Eastern Maine
7 Medical Center. He will be discussing nonunion
8 and the role of e-stim, electrical stimulation
9 among other things. And finally, we'll hear from
10 Dr. Alan Jones, director of orthopedic trauma at
11 Baylor University. He will be addressing nonunion
12 scan and the orthobiologics.
13 While I'm passing on going through all
14 these, I'm happy that our screen is now working,
15 and thank you for coming.

16 DR. MCNEIL: Karen, welcome.

17 DR. SCHOELLES: Thank you. Can I take
18 her extra minute?

19 DR. MCNEIL: No.

20 DR. SCHOELLES: I didn't think so. I
21 am Karen Schoelles, I am medical director of the
22 evidence-based practice center and health
23 technology group at ECRI, which is a nonprofit
24 medical services research organization. This work
25 was commissioned, as you heard, by CMS through

00015

1 AHRQ.

2 The diagnosis of nonunion was addressed
3 in our full TA in a narrative review along with
4 risk factors for the development of nonunion,
5 current standards of care, and outcomes commonly
6 reported. I am not going to go through that
7 portion of the report, trusting that you digested

8 it. The systematic review is the portion that
9 your questions are focused on, that being the
10 evidence for the benefits and harms of bone growth
11 stimulating devices and orthobiologics in the
12 treatment of nonunions.

13 We had been asked to look for evidence
14 regarding variations in outcomes, variations in
15 surgeons performing the procedures, et cetera, but
16 we're not able to find any studies that directly
17 address how that might impact outcomes.

18 The bone growth stimulating devices
19 that are being addressed in your questions, we
20 categorized slightly differently than the
21 categories that we had been given. Ultrasound,
22 it's applied as an external device for about 20
23 minutes a day. Direct current devices are what
24 are referred to in your questions by internal
25 electrical stimulation, these are electrodes

00016

1 implanted at the fracture site. Capacitance
2 coupling is an external device that conducts
3 electrical current through to the site to promote
4 healing. Another external electrical device is
5 the pulse electromagnetic fields devices.

6 We covered shock (inaudible) therapy in
7 our report, but we won't be discussing that in
8 view of your questions.

9 We have a limited amount of information
10 in our report on orthobiologics, the allomatrix,
11 injectable putty, another compound prepared from
12 allograft, and it should be partially purified
13 human bone morphogenetic protein. And then the
14 recombinant BMP-7 products known as OP-1.

15 The inclusion criteria for the
16 systematic review portion of the report is listed
17 on the slide. We were choosing the time period of
18 1990 to 2005, thinking that we were going to be
19 thinking about these therapies against the
20 backdrop of current surgical therapy, and knowing
21 that many surgical techniques had changed and the
22 other characteristics of a typical patient has
23 certainly changed. However, we did run into some

24 difficulties that I will come back to later by not
25 including earlier studies.

00017

1 We required a minimum of 20 patients in
2 the studies, thinking that in the terms of the
3 percent healing that is commonly described and
4 trying to understand whether that was really
5 different from the healing rates of patients who
6 didn't receive the devices or orthobiologics. We
7 spent some time doing a limited assessment of
8 quality of the evidence, particularly focusing on
9 the internal validity of the individual studies,
10 and developed an a priori list of things that we
11 wanted to be looking for in studies to decide
12 whether they had some, or what the degree of
13 internal validity might be. These are our
14 criteria, they are based on a framework provided
15 through AHRQ and the preventive services task
16 force, but there is some arbitrariness, and we
17 find this reasonable.
18 For the RCTs, we were looking for
19 adequate randomization and an equal distribution
20 of confounders. In the cohort studies, that at
21 least the confounding variables would be
22 acknowledged and either the patient group be
23 restricted based on certain characteristics known
24 to influence healing or that the analyses done
25 would adjust for those. We looked for studies to

00018

1 report dropouts, crossovers, and compliance with
2 therapy.
3 We wanted to be sure that the
4 interventions were clearly defined, that loss to
5 follow up was reasonable, so we chose less than 20
6 percent. In many of these studies, the nature of
7 the treatment was such that you couldn't really
8 blind patients and providers to the treatment
9 assigned, so we required that, we asked that they
10 at least be doing blinded outcome assessments, in
11 other words, a radiologist not involved in the
12 care of the patient be assessing the radiographs.

13 We wanted to be sure that they included the
14 outcomes that we decided at the time seemed to be
15 important, not just radiographic signs of healing
16 but also some more patient-oriented outcomes. And
17 in their analyses, we wanted to see whether they
18 had adjusted for confounders. So we set up an
19 arbitrary rating scale for the studies. We rated
20 as good internal validity meeting all of those
21 criteria, the fair designation for those that
22 missed only one or two of the items, and the low
23 designation for those that missed three or more.
24 So this is the evidence base that we
25 have. As you can see, we've had two RCTs that

00019

1 were both rated good internal validity. The
2 majority of the studies were retrospective series,
3 and for a variety of reasons were in the low
4 internal validity category. We did have two
5 prospective series that we rated as fair and two
6 RCTs.
7 After doing the initial version of our
8 report, it was sent out for review and we were
9 asked to add some supplemental information into
10 the record, but which I'm not going to be
11 presenting in the slides, the reason for that
12 including the fact that the reported studies of
13 the electrical stimulation devices were conducted
14 prior to 1990 and have not been repeated. So I'm
15 not going to be including studies from that era in
16 these slides, but they are in the tables and a
17 copy of the report.
18 We also looked back again at abstracts
19 and discussed some of the findings of studies
20 available only in abstract in the report, but
21 again, they are not in these tables.
22 We found a variety of definitions of
23 nonunion in the literature. There seemed to be
24 general agreement that lack of progression to
25 healing for a minimum of three months was a

00020

1 necessary criterion, and that was typically

2 assessed on the basis of radiographs. If a
3 patient had on physical examination movement at
4 the fracture site, that was considered sufficient
5 evidence for nonunion but certainly not a
6 necessary criterion.
7 There are a lot of differences in terms
8 of the temporal definitions of nonunion. There
9 was a survey conducted by Bhandari published in
10 2002 of over 400 orthopedic surgeon members of the
11 American Academy of Orthopedic Surgery where he
12 asked them a variety of questions about diagnosis
13 of nonunion in patients with tibial fractures that
14 have not healed. When asked how much time would
15 have to have passed since the initial injury
16 before you would be willing to declare a patient
17 not to have healed, the mean was six months, but
18 the range was anywhere from two months to a year.
19 In the studies that we examined, the time most
20 commonly cited as their definition of nonunion was
21 nine months post-fracture without healing, but the
22 rate was anywhere from the 16 weeks in the
23 Sharrard study to some studies that had another
24 definition, what they called established nonunion,
25 by which they meant greater than a year.

00021

1 There are three studies of ultrasound
2 in the study set. One of them is registry data
3 that was required by the FDA to be kept by the
4 manufacturers. The other is a prospective series
5 done by the same individual who had published the
6 registry data, and a second prospective series.
7 The same issue will come up with all
8 the different technologies, that patients are
9 receiving other types of therapy in conjunction
10 with the treatment being studied. In many cases,
11 if it's internal devices, they have (inaudible)
12 and the patient has failed to heal despite their
13 presence. But in other cases surgical procedures
14 would be done, external fixators may have been
15 applied, new casts may have been applied.
16 The bone types included in the
17 ultrasound studies are probably some of the, this

18 is probably one of the broader range of types of
19 bones studied, but as in all the different
20 categories, studies of the tibia predominated.
21 The results of the ultrasound studies will sound
22 very similar to results in just about all the
23 other technologies in that, as you can see in the
24 three studies that we have, the range of results
25 was anywhere from 76 percent to 86 percent of the

00022

1 patients healed.
2 The relevance to the Medicare
3 population is determined by patient age alone.
4 All I can say is that one of the prospective
5 series had six patients over the age of 65 and all
6 of them healed. The registry included something
7 less than 50 patients who were over the age of 70,
8 and 71 percent of those patients healed.
9 The direct current studies, there were
10 three. The study by Brighton in which he
11 compared, essentially a sequential series of
12 direct current studies from 1970 to 1982 roughly,
13 the capacity for coupling which he switched to in
14 1982, and he was comparing them to patients in
15 those same time periods who underwent bone
16 grafting. Then there were two other retrospective
17 case series of just direct current. Direct
18 current, again, is the implantation of the
19 electrode into the fracture site.
20 Patients were receiving other therapies
21 simultaneously, typically asked to not bear weight
22 during their treatment, but as you know from the
23 background information, immobilization of the
24 fracture is critical for healing.
25 In this group of studies, the tibia far

00023

1 outweighed the others and the results, again, 72
2 percent in one study, 86 percent in the other,
3 patients who healed.
4 We did not find any data specifically
5 on patients over the age of 65. Some studies did
6 include patients over the age of 65 but we were

7 looking for outcomes to be reported for those
8 patients.
9 There was one long-term follow-up study
10 trying to get at potential long-term failure or
11 adverse events. One of the patients very early on
12 had been in a gymnasium, and they didn't tell us
13 what degree of activity was going on at that time,
14 but there was a refracture at the site soon after
15 the electrode had been removed. A second patient
16 required a second device to go on to heal
17 completely, and the other 35 patients of their
18 original 84 patients remained united. There were
19 a number of patients they could not locate by the
20 time of their ten-year follow-up.
21 The capacitive coupling studies,
22 including one RCT and two retrospective studies,
23 one being the one by Brighton, again, casting,
24 bracing, external fixators used simultaneously,
25 bones studied, the tibia is the overwhelming one.

00024

1 The RCT included 21 patients.
2 One point I want to make about RCTs in
3 the field is that virtually every author mentioned
4 that they had a great deal of difficulty
5 recruiting patients for the studies. Many of them
6 had, I don't know whether they had done power
7 calculations ahead of time, but most of them fell
8 far short of their goals in trying to do RCTs.
9 They included only patients who had had
10 their nonunions for at least nine months. They
11 had an active device group and a dummy device
12 group. Six of ten patients in the active device
13 group healed and none of the patients of the 11.
14 There was not -- there was presentation of the
15 duration of nonunion prior to the study but not a
16 lot of other patient characteristics that I might
17 have wanted to see to be competent that there
18 weren't many confounding, or wasn't some
19 confounding problems. The additional studies, one
20 in Brighton's, we were only able to get the actual
21 data on ten patients, seven who healed, and in the
22 other series, 22 of 32.

23 There were two patients over 65 in the
24 dummy device group in that RCT, one was 68, one
25 was 87, and neither of them healed, and one of the

00025

1 two patients over 65 in the retrospective series.
2 There were seven studies of pulsed
3 electromagnetic fields. The two RCTs I'll spend
4 the most time on, one prospective case series, and
5 the rest were other retrospective studies. Again,
6 long leg plaster casts, external fixators,
7 osteosynthesis, in other words, plates and screws
8 and such, and braces. Tibia was again
9 predominant.
10 The Simonis RCT treated only patients
11 who had their nonunion for at least a year. They
12 excluded anyone with a metal implant at the
13 fracture site. Both groups of patients underwent
14 the fibular osteotomy, the idea being to shift
15 weight-bearing to the tibia, and performance and
16 use of an external fixator. There was an active
17 device versus a dummy device, which in the active
18 device group, 89 percent of the patients healed,
19 and of the patients who underwent just osteotomy
20 and external fixator, 50 percent healed. It was
21 statistically significant until they adjusted for
22 smoking.
23 As you know from the background
24 information, we mention that a number of studies
25 show that patients who smoke seem to have a lower

00026

1 rate of healing both in their initial fracture and
2 certainly once they have a nonunion.
3 The Sharrard study is one that
4 generated a lot of discussion in our, going back
5 and forth over the report. He refers to the
6 patients as having delayed tibial union, but they
7 were all four to eight months following fracture.
8 They could not have had any prior surgery other
9 than open reduction perhaps for the initial injury
10 and cleaning the wound. They excluded anyone who
11 had what they called severe atrophy, although, and

12 those who had severe hypertrophy at the site. All
13 of the patients were treated with a long-leg
14 plaster case. The outcomes of the study were all
15 radiographic and there was more gradation of the
16 results than in any of the other studies. The
17 12-week results for the study are what have been
18 published. We later received some unpublished
19 results, but these are the 12-week results, again,
20 using just the radiographic criteria.
21 In the active device group, three
22 patients had achieved full union within that
23 12-week period and as you can see, there were
24 seven headed in that direction, ten who didn't
25 change, whereas the numbers unchanged in the

00027

1 inactive device group were higher.
2 Dr. Sharrard presented to a Blue Cross
3 Blue Shield committee in answering some questions
4 that they had when they were making a coverage
5 decision some time after the study was published,
6 and he provided some longer term follow-up, which
7 was two years. And it's interesting because it
8 tells us at least that a 12-week study is probably
9 not going to be sufficient, at least if you use
10 comparable patients with comparable fractures, to
11 really determine the rate of healing with various
12 treatments. Even though only three had full union
13 and seven had progression toward union of some
14 degree in the 12-week period, we could see
15 additional patients went on to heal. There were
16 eight in the inactive device group who ultimately
17 healed without further treatment. However, eight
18 of them had switched over to the active device
19 immediately after the end of the 12 weeks so we
20 don't know what their further course might have
21 been without that.
22 The other studies of this technology
23 again, ranges from 69 percent, 76 percent, 88
24 percent. I'm sorry, the 69 percent was in a group
25 in the Traina study which is a retrospective

00028

1 comparison, it examined patients getting a whole
2 variety of different treatments lumped together in
3 that second group.
4 As far as patients over the age of 65,
5 in the Garland study, which is a prospective case
6 series, 18 of 28 patients healed, and in the Ito
7 study there were three patients over 65, two of
8 whom healed.
9 Orthobiologics, we have four series,
10 I'm sorry, one RCT and three retrospective case
11 series. The Friedlaender RCT studied only tibial
12 nonunion. All patients were treated with
13 intramedullary reamed nailing and then randomized
14 to either receive OP-1 or their own autogenous
15 bone graft to be implanted into the fracture site.
16 The measures of healing that they included in the
17 study are even more than these, but of their
18 combined clinical measures, you can see it looks
19 fairly similar for the BMP-7 and bone grafting.
20 Bridging on at least three radiographic views,
21 fairly similar, and not requiring any further
22 surgical treatment, similar.
23 They did not present any data on the
24 patients over 65, although they did include a few.
25 For the retrospective case series, two

00029

1 of them were from the same group who produced
2 their own product, they used bone allograft and
3 partially purified human morphogenetic protein,
4 whereas the allomatrix injectable putty took
5 demineralized bone from allograft mixed with
6 cellulose and calcium sulfate and used that to
7 inject into the fracture site.
8 In the studies by Johnson and Urist,
9 some patients were also receiving bone grafts at
10 the time of treatment and the usual other
11 treatments for stabilization. The bone study for
12 these studies is slightly different.
13 Again, healing rates up around 80 to 86
14 percent. Dr. Johnson's group report on eight
15 patients over 65 in one study with five in
16 another, with high rates of healing in both.

17 So how does this help you with your
18 questions? Well, it's difficult to say. The
19 indications, I would think that ideally you would
20 like to see randomized controlled trials with very
21 well matched patients in the groups who had, you
22 know, all their concomitant therapies were exactly
23 the same with the exception of the device that
24 you're trying to study, and you could pick out
25 which patient characteristics determined whether

00030

1 patients need or not, these in addition to other
2 therapies, and which patients are more likely to
3 benefit or not. However, as I mentioned, there
4 was a great deal of difficulty recruiting patients
5 for the limited studies that have been done, and
6 it may require something along the lines of a
7 matched case controlled design to try to look at
8 it further and tease out some of the specific
9 patient characteristics that predict who might
10 really benefit from these treatments as opposed to
11 continuing just a bit longer with whatever other
12 orthopedic therapy they're receiving.
13 The same is true for the questions on
14 whether the biophysical enhancement has impact on
15 these various outcomes. We provided a table for
16 you with the outcomes just as reported by study,
17 that just somehow it's predominantly radiographic
18 outcomes that were available, and not a lot in
19 terms of patient function. Causal relationship,
20 again, we prefer to see RCTs, but that seems
21 unlikely to be doable in this particular field.
22 How confident are you that there will
23 be an important net health benefit? Well, I think
24 you have to consider what the alternatives are.
25 Many of the patients are facing a decision about

00031

1 whether to undergo bone grafting procedures. Some
2 of them may be reluctant to do so, some of them
3 may be poor candidates for further surgery, so
4 there are a lot of clinical judgment issues that
5 will come into your decision. And the adverse

6 effects of the technologies were not striking in
7 the published studies.
8 The study by Friedlaender,
9 interestingly, points out that the OP-1 implant
10 avoided the morbidity of harvesting the bone for
11 bone grafting, and it was curious to us that the
12 rate of osteomyelitis in the patients who had
13 proceeded with bone grafting was as high as they
14 found, which was 21 percent, but nonetheless, you
15 can tell that patients certainly would avoid the
16 morbidity of the bone graft harvesting. That's
17 not to say that there aren't other types of
18 therapies in the works, there are other therapies
19 other than bone grafting that might have less
20 morbidity involved that are still going to be
21 alternatives to the technologies we're examining
22 today, and I'm thinking of the bone marrow
23 aspirates for injection.
24 As to whether this will hold when no
25 prior surgery was done, well, we just have the

00032

1 Sharrard study that only included patients without
2 prior surgery.
3 And off label, we only have the
4 allomatrix study.
5 The fractures -- I'm hoping that the
6 members have a copy of these slides, but I've
7 given you a table and as I said before, the tibia
8 is by far the most commonly studied bone. And
9 looking at the patients over 65, it appears I made
10 a math error here, but that first line should be
11 that it's something less than 56 patients
12 included, but at any rate, we certainly have fewer
13 than 100 patients over the age of 65 for whom we
14 have outcomes to study.
15 And question eight, we didn't even
16 consider that question and didn't see any studies
17 on that. Thank you.
18 DR. MCNEIL: Thank you very much,
19 Karen. Are there any questions for her? That was
20 a lovely presentation, thank you again.
21 DR. BURKE: I have one question. Did

22 you get any sense for the underlying rate of bone
23 healing in these studies? In other words, it was
24 heterogeneous therapies, so all of them received
25 some therapies, but did you get any sense of what

00033

1 the rate of healing would be just on its own, 15
2 percent, 25 percent? If you didn't do that,
3 what's your base center line?
4 DR. SCHOELLES: That's a good question.
5 The assumption had been that patients, once
6 nonunion was diagnosed, would not heal, that, you
7 know, if you saw it in effect once you applied one
8 of these technologies, that it had to be the
9 technology. Well, the problem is that patients
10 don't get no treatment, pardon the double
11 negative, but in the comparison groups that we
12 have, we saw ranges of anywhere from 12 percent to
13 50 percent.

14 DR. BURKE: Thank you.

15 DR. MCNEIL: I had one question. You
16 started to emphasize or mention at the end, but it
17 wasn't on any of your slides, the result that
18 struck me was the Friedlaender one on
19 osteomyelitis.

20 DR. SCHOELLES: Yes.

21 DR. MCNEIL: And while there were no
22 significant differences in anything else, that was
23 a significant difference that favored the
24 intervention; is that correct?

25 DR. SCHOELLES: It was a significant

00034

1 difference in that the control group of patients
2 receiving autogenous bone grafting had a 21
3 percent rate of osteomyelitis, whereas those
4 receiving the implant, the OP-1 implant had, I
5 believe it was three percent.

6 DR. MCNEIL: That struck me as an
7 important result and I was wondering why it wasn't
8 on one of your slides.

9 DR. SCHOELLES: Well, some of our
10 orthopedic reviewers were concerned about that

11 number and had raised some doubts about it.
12 DR. MCNEIL: Could you elaborate? I
13 think this is a really important point.
14 DR. SCHOELLES: One of the points made
15 was that a confounder for that could be use of an
16 external fixator as prior treatment, that patients
17 who are treated with external fixators not
18 uncommonly contract infections, and if they go on
19 to have intramedullary nailing following a recent
20 impact infection, they are very prone to
21 osteomyelitis. So he thought that the failure to
22 report that potential confounder, they had concern
23 about the validity of that result.
24 DR. MCNEIL: Other questions? Okay.
25 Thank you very much. If not, we'll move on to

00035

1 Dr. Carmack from Eastern Maine Medical Center. Is
2 he here?
3 DR. CARMACK: Good morning. The
4 presentation that you're seeing here may not be
5 the one that you got, the second one. Is there an
6 AV person that might, because I'm not seeing the
7 color. Is there an AV person here?
8 DR. MCNEIL: And before speaking, would
9 you please indicate your disclosures regarding any
10 potential conflicts? Dr. Carmack, are you here?
11 Where is he?
12 DR. SCHOELLES: Should I disclose that
13 I have no conflicts?
14 DR. MCNEIL: Yes, thank you. Do we
15 have the slides?
16 Well, rather than wasting even a little
17 time, maybe some of the orthopedists on the panel
18 can talk about what they think usual osteomyelitis
19 rate is for patients with bone grafts. 21, is
20 that above or below the norm?
21 DR. KOVAL: What they didn't say was
22 where was that osteomyelitis. If you're talking
23 about the donor site, you know, 21 percent of
24 osteomyelitis at the donor site after bone graft,
25 that --

00036

1 SPEAKER: It's not the donor site.

2 DR. MCNEIL: It's not the donor site,
3 so for the non-donor site, is 21 percent high or
4 low?

5 DR. KOVAL: If the osteomyelitis is not
6 occurring at the crest, it's occurring at the
7 tibia, I assume.

8 DR. SCHOELLES: Right.

9 DR. KOVAL: She didn't say where the
10 osteomyelitis was coming from.

11 DR. SCHOELLES: Right, at the site.

12 DR. MCNEIL: At the fracture site.

13 DR. KOVAL: So I think that has nothing
14 to do, I would be more interested if that was
15 coming from the crest site, which it's not.

16 DR. MCNEIL: But the 21 percent at the
17 tibial site, is that a high or low number, or an
18 average number?

19 DR. KOVAL: Very high, but it depends,
20 they are correct, it depends whether there was a
21 previous external fixator that could be used, so
22 unless we know that, we don't really know, but if
23 it was a closed fracture, that would be quite
24 high.

25 DR. MCNEIL: Two other comments and

00037

1 then we're going to move on. Yes?

2 DR. BOYAN: I'm not sure the issue is
3 whether or not the result was valid. It was valid
4 if you got it and it was scientifically achieved,
5 so it's a valid result. The issue is whether a
6 nonsurgical technique or a less invasive surgical
7 technique had a lower incidence of osteomyelitis
8 than something that was surgical or repeated
9 exposure to surgery might have caused. I think
10 the statement, result is invalid or incorrect is a
11 confusing statement. It was a result.

12 DR. MCNEIL: John.

13 DR. KIRKPATRICK: The other thing I
14 would do is to immediately go back to look at the
15 two groups to make sure they're similar, because

16 if there was a lot of grade three opens in the
17 ones that got affected, that would explain that
18 finding, as opposed to they were all closed on the
19 other arm, and I'm not sure that their data
20 presentation allowed for that analysis.

21 DR. MCNEIL: Darren, do you know the
22 answer?

23 SPEAKER: The randomized procedure was
24 quite good and it equalized most patient
25 characteristics between the two groups, so opens,

00038

1 fractures, prior medullar reaming, it was spread
2 between the two groups, so I believe the
3 randomization process would equalize the patients
4 who had prior external fixation.

5 DR. MCNEIL: Okay, thank you very much.
6 Why don't we move on, and Dr. Carmack, would you
7 indicate whether or not you have any conflicts or
8 other kinds of things to worry about.

9 DR. CARMACK: Good morning. My name is
10 David Carmack and I do not have any financial
11 interests or conflicts in the subject matter to be
12 presented.

13 I am a medical director for orthopedic
14 trauma at a regional trauma center in Maine, in
15 Bangor, recently transitioned to there from here
16 in Baltimore at Shock Trauma, and I'm also
17 transitioning out of active duty military to the
18 civilian environment, so I thank you for the
19 opportunity to speak to you today.

20 My goal is to talk specifically about
21 physical forces in treating nonunions, i.e.,
22 electric stimulation and ultrasound, and then
23 further modalities that we have as a practicing
24 orthopedic trauma surgeon to treating these
25 difficult problems. Let me talk about the normal

00039

1 fracture healing process briefly, and then again
2 reiterate the definition of nonunion and how that
3 is a little bit of a moving target, talk about its
4 etiology, talk about the treatment modalities

5 available, and then specifically launch into the
6 use of ultrasound and electrical stimulation for
7 the use of that. I think we're on track and on
8 time, so I think we're going to be fine.
9 The normal fracture healing process,
10 you can break it up into the following stages,
11 impact, induction, inflammation, soft callus, hard
12 callus, and then remodeling. Electrical
13 stimulation as well as ultrasound affects various
14 portions of the healing process, most commonly
15 through the inductive phase, inflammation and soft
16 callus, but they affect all aspects of that
17 healing process, some to various degrees more than
18 others. A lot of it is supported by bench
19 scientific work, but I don't think there is one
20 kind of target area that we're hitting, and the
21 studies kind of point to that as well.
22 The radiographs on the right show a
23 typical nonunion of the proximal tibia, an open
24 fracture initially. This one with the presence of
25 active infection with the lack of a soft tissue

00040

1 coverage, and the treatment and evaluation of that
2 nonunion having to deal with the various problems,
3 the lack of soft tissue, the infection, infected
4 hardware. And so I kind of want to put a picture
5 out there that these modalities are good but they
6 are a small part of the entire picture of treating
7 these difficult patterns, and then eventually
8 getting on to the goal of a union of that fracture
9 below with hopefully absence of infection.
10 Fracture environment, the hematoma
11 phase you have proteins as well as cells, all with
12 the goal of organizing to promote osteogenesis and
13 cartilage formation, and then the replacement of
14 that with bone. As well in that environment, it
15 has been found that when the general overall
16 electronegativity caused by mechanical type
17 factors normally in a fracture pattern or just the
18 environment which may further be a stimulus for
19 osteogenesis.
20 Two types of bone healing. It's

21 important to speak about contact healing versus
22 gap healing. Contact healing is when you obtain
23 an anatomic reduction of the fracture and
24 essentially get replacement and extension of the
25 bone right across that fracture gap which is

00041

1 anatomically reduced, usually with an implant or
2 external device, but mostly implant, versus gap
3 healing, where you go through all those stages of
4 bone healing. And from my, you know, review of
5 the literature and my understanding of it, I think
6 the adjuncts are much more applicable to the gap
7 healing phase of it.

8 What is a nonunion? It has been
9 defined as failure, arrest of the bone healing
10 process, and I think almost randomly we've landed
11 at three months or 90 days. I personally follow
12 the patients every four weeks, so it's a lack of
13 progression on three consecutive monthly
14 radiographs, which is 90 days. There's further
15 criteria out there that it may need to be for a
16 minimum total time period of nine months, but I
17 think that is quite variable. So the take-home
18 message is that the actual diagnosis of nonunion
19 should, we hope is very objective, but in reality
20 I think it's quite subjective, and as a
21 practitioner when you're deciding to treat it as a
22 nonunion, there is a lot of subjectivity that
23 comes into play.

24 Delayed union for me is we're just
25 waiting for a nonunion by the patient's variables

00042

1 and again, there's some gray zone between delayed
2 union and the actual diagnosis of nonunion.
3 Etiology of injury variables, open
4 fracture, nature of the soft tissue injuries,
5 segmental fractures, soft tissue interposition.
6 The radiograph on the right shows a very clearly
7 established nonunion of a humerus with gross
8 motion there over about a year more clear.
9 Patient variables, age is certainly a factor,

10 nutrition, systemic hormones, presence or absence
11 thereof, and nicotine, the majority of that being
12 from smoking.
13 Further tissue variables, where is that
14 fracture, where is that fracture, can you set it
15 for nonunion, cancellous versus cortical bone.
16 The cancellous fractures tend to heal better, and
17 in some of the studies it's very hard to tease
18 out. You can tease out the bones they are in for
19 the location of the nonunion, but it doesn't
20 always differentiate between the location in the
21 bone, if it's a highly vascularized area versus
22 the mid diathesis, which can sometimes be more
23 challenging. If there is bone necrosis from loss
24 of blood supply, it's hard to heal a dead bone.
25 Presence of bone disease, and most importantly I

00043

1 think in all this stuff is the presence or absence
2 of infection as well.
3 Types of nonunions are hypertrophic
4 nonunions and atrophic nonunions, and then we
5 define in between there a leap of trophic
6 nonunions which are somewhere in between. For me
7 a hypertrophic nonunion is one such as on the
8 right; there is a lot of callus there but it is
9 just not making that gap to healing, so it has
10 good biology but it needs stabilization. Atrophic
11 nonunion is that there could be or couldn't be
12 inadequate, some good or bad stabilization, but
13 generally they need biology. Pseudarthrosis is
14 more like we just showed before with that kind of
15 false joint that's definitely declared a nonunion.
16 And then lastly, infected nonunion, and as a
17 practicing trauma surgeon, this is something we
18 are always acutely aware of and are trying to
19 tease out before we launch into the treatment.
20 So you know, the use of all the
21 adjuncts, you know, a nonunion is not just a
22 nonunion is what I'm trying to say. And as a
23 practicing end user of these products, there is a
24 big variety of fractures that we are trying to put
25 all together.

00044

1 Diagnosis, most of the time we get from
2 plain radiographs, serial plain radiographs. If
3 it's not clear, then sometimes we will get
4 tomograms or CT scans, and it's very rarely bone
5 scans.
6 Treatment is revised skeletal
7 stabilization, either internal or external
8 fixation, biologic stimuli, which Dr. Alan Jones
9 is going to address. But specifically either, you
10 know, the gold standard is autograft, the
11 patient's own bone, and now with all the new
12 proteins on the market, they are possibly
13 replacing that. The physical force is ultrasound
14 and e-stim.
15 The central hypothesis in physical
16 forces in generating and promoting bony union is
17 that there are electrical potentials that are
18 produced naturally, which may be a regulatory
19 signal that turns the cellular processes on for
20 bone formation, promoting mesenchymal cell
21 differentiation down into the pathway of a
22 bone-forming cell or an osteoblast.
23 In ultrasound, there are very good
24 basic studies, and some of these speakers will
25 address that today on the actual, you know,

00045

1 science behind the use of it. But they include
2 some increase in enzymatic activity toward the
3 union from the ultrasound, increased calcium
4 incorporation into cartilage, increased gene
5 expression in the remodeling phase of fracture
6 repair. So essentially, you know, there is good
7 basic science showing that they turn those
8 cellular mechanisms on and enhance them.
9 The clinical data, I think there are
10 two studies which are quoted quite often in the
11 closed and open grade one tibia fractures. They
12 showed a decrease in union time in those treated
13 nonoperatively, and in the distal radius study
14 they showed a decrease in union time as well as

15 decrease in loss of reduction, both very important
16 things as a practicing orthopedic surgeon,
17 applicable and significant studies being able to
18 relate those to our patient population.
19 So current indications are there. They
20 are approved for use in fresh fractures as well as
21 approved for the treatment in established
22 nonunions. I think there was a recent change also
23 this year, earlier this year potentially, that the
24 patient did not need to fail a previous surgical
25 attempt at treatment of the nonunion.

00046

1 Electrical stimulation, as pointed out
2 before by Karen, direct current pulse
3 electromagnetic fields, capacitance coupling,
4 combining magnetic fields. These have been around
5 for, you know, a lot longer than the ultrasound
6 has. Most of my experience is with the PEMS in
7 short, you know, and I do think it's a useful
8 adjunct. The basic science behind it is, again,
9 benchwork stuff that hypothesized that a lower PO₂
10 and rise in pH at the implanted cathode is
11 favorable to bony formation with increased
12 production of (inaudible) synthesis, i.e.,
13 promoting the pathway for an osteogenesis or
14 moving in that direction.
15 Studies show union rates for the
16 various bones, and I won't go through all of them
17 again, but in summary, the studies are favorable
18 in use of the electrical stimulation direct
19 current. They do lack prospective randomized
20 controls clearly, and there is also a hodgepodge
21 of different other modalities in the treatment of
22 those fractures, such as internal or external
23 fixation. So current indications for direct
24 current is it's FDA-approved for established
25 nonunion. Most commonly it's used in conjunction

00047

1 with the bone grafting procedure or hardware
2 revision procedure because it requires an
3 implanted cathode method, and if someone is going

4 to go to that effort to do the surgery they will
5 then, you know, will or will not add that adjunct,
6 being that surgically implanted cathode.
7 On the pulse electromagnetic fields,
8 the basic science behind that is, they were
9 developed to induce the electrical fields that are
10 similar to the endogenous electrical fields
11 produced in response to bony strain or mechanical
12 loads, again, promoting increased emphasis on the
13 osteoinductive proteins, to include DBM, BMP-2 and
14 BMP-7, which Alan will address as well, but
15 essentially turning the switch on to promote the
16 healing.
17 There are many clinical studies, over
18 250, and some of them have found that they are
19 comparable to surgical intervention. Certainly as
20 an end user, my goal with these devices would be
21 hopefully to prevent a surgical intervention if
22 possible, and that's a very valid role. They
23 found that dose response with healing times may
24 need at least ten hours a day with the use of
25 those devices, so current indications are they are

00048

1 an adjunct to standard fracture management of
2 nonunions and failed unions as well.
3 Capacitance couplings, the application
4 of two surface electrodes inducing an electrical
5 field in the environment with an oscillating
6 electrical current turning on and off proteins
7 such as voltage-gated calcium channels and having
8 increased values of (inaudible), and again, all
9 this theorized to promote the healing process.
10 Clinical data, all comers, in some studies as high
11 as 77 percent union rate, again, in prospective,
12 not randomized, not clinically significant when
13 you do statistics, but in their case series,
14 showing six out of ten healed with capacitance
15 coupling versus zero out of 11 of the ones that
16 did not get the treatment, but again, a lot of
17 variables in there.
18 So current indications to include
19 nonunion for long bone and scaphoids have been

20 used, combined magnetic fields, use of (inaudible)
21 fields for transport across the cell membranes,
22 again, increasing the production of the
23 osteoinductive proteins. The clinical data
24 supports its use in neuropathic joints as well as
25 spinal fusion, I know that's not our target today,

00049

1 but they are in support of that. So from that and
2 other studies, the current indications are the use
3 of that for the management of nonunion as an
4 adjunctive field as well.
5 So, in summary, physical stimulation to
6 include ultrasound as well as electrical fields,
7 to me and to a majority of the orthopedic trauma
8 surgeon population or orthopedic surgery
9 population, are still very useful adjuncts for
10 treating nonunions with the theory that overall
11 they are increasing osteoconduction and
12 osteoblastic capabilities of the fracture
13 environment.
14 I think very importantly, as will
15 probably be brought out later, that you know, a
16 lot of these studies come from the last 15 years.
17 Fracture implants have changed dramatically in the
18 past 10 to 15 years, so there are other tools
19 available to us that we're using to treat
20 fractures, less invasive things, better
21 stabilization, so I think we're at a stage now
22 where we are seeing a big shift of how we treat
23 nonunions and if the panel is looking to these as
24 a substitute for sound fracture management or
25 surgery, I don't think that's where it's going. I

00050

1 think they still remain an adjunct to good
2 clinical practice and aggressive therapy of
3 nonunions. And just an aside, I think as our
4 patient population changes a little bit, that, you
5 know, certainly nine months or a year or longer
6 waiting to make a diagnosis of a nonunion really
7 is not acceptable anymore, patients demand better,
8 and so we are much more aggressive in treating

9 fractures and using these earlier than we used to.

10 Thank you very much for your time.

11 DR. MCNEIL: Thank you very much. Are
12 there questions? A very complicated set of data.

13 Yes, Marc?

14 DR. BERGER: Marc Berger. So with this
15 array of adjunct therapies, how does one choose
16 one versus the other, why does one choose one
17 versus the other? Is it simply, this is what you
18 have experience with, or what's the clinical
19 judgment going on there?

20 DR. CARMACK: I think for the end user,
21 it's in reality probably what one has had
22 experience with in the past, as well as reviewing
23 the literature in making that decision. I think
24 industry plays a little bit of a part in that in
25 presenting data to individual orthopedic surgeons

00051

1 for the use thereof. In reality, in choosing
2 which device to use or not to use it, I will tend
3 to use everything I can to promote union if I
4 believe there is a positive effect from it and I
5 can support that with some form of literature. I
6 use both electrical stimulation and ultrasound and
7 I use them similarly in similar patients. I don't
8 have the case numbers to put them head to head and
9 those don't really exist, so I think they both
10 have literature to support their use, and it will
11 be challenging to sort that out.

12 DR. MCNEIL: Bob and then Linda.

13 DR. MCDONOUGH: I actually had a
14 similar question to Marc but there is also another
15 question. As you know, ultrasound has also been
16 studied as you mentioned, in fresh fractures that
17 tend to progress to nonunion. Do you think those
18 studies have any relevance to answering the
19 question, especially with ultrasound, since we
20 don't have randomized studies, or is that not
21 really relevant?

22 DR. CARMACK: The question is, is there
23 a role for more use, or the use of ultrasound in
24 the acute management of fractures in general?

25 DR. MCDONOUGH: Well, I guess my

00052

1 question is, does evidence demonstrating, and in
2 fact from randomized clinical studies demonstrate
3 an effect of an intervention on fresh fractures
4 that demonstrates a reduction in a tendency to
5 progress to nonunion? Does it have any relevance
6 at all? And the reason why I'm asking that
7 question is especially in those cases where we
8 don't have randomized clinical controlled studies
9 looking at nonunions, is there other evidence that
10 is randomized that we might consider?

11 DR. CARMACK: To my knowledge, the two
12 studies for acute fractures were the ones that
13 were mentioned and there was shown a benefit of
14 decreasing, or increased loss of reduction. I
15 think further studies that repeated those findings
16 would be very beneficial, because certainly the
17 role for decreasing, even if we're going to go on
18 to a union with fracture, if that can be cut short
19 with a decrease in morbidity and I think that's a
20 good thing, so in short, yes.

21 DR. MCNEIL: Linda.

22 DR. BERGTHOLD: We've done some studies
23 for variability in coverage policies among
24 different health plans around the country, and the
25 issue of sort of at what point after fracture you

00053

1 can begin to consider these other alternatives.
2 And from a consumer point of view, especially if
3 you live in Wisconsin and have the same bone
4 fracture and wait nine months, and you can be in
5 Texas and get some kind of treatment in three
6 months, so the importance of having CMS establish
7 some fairly clear cutoff points so that there is
8 consistency, to me as a consumer is important.
9 My question is after three months, so
10 we have three months to a year as a period of time
11 that these treatments could be considered. What
12 proportion of fractures that are still, you know,
13 nonunion at three months, or let's not worry about

14 nonunion, but delayed at three months, then go on
15 to heal at six months, nine months, a year? In
16 other words, once we've had a bone fracture for
17 three months that is nonhealing, isn't that likely
18 that it's not going to heal, a very small
19 proportion are going to heal, or is there some
20 evidence we have about that?
21 DR. CARMACK: You know, I think that's
22 a great question and in essence, it would be if
23 you take a nonunion and leave it as a nonunion and
24 go ahead and treat one group and don't treat the
25 other. I'm not aware of any good evidence that

00054

1 does that specifically. Usually when we make a
2 diagnosis of nonunion, that clearly has met the
3 criteria, the other parameters, the variables are
4 all telling us that this is not going to heal. I
5 think there is probably a percentage of those that
6 will go on to union with prolonged immobilization,
7 but with prolonged immobilization you get
8 prolonged disability and there is a huge amount
9 of, you know, it explodes from there. So I think,
10 I still believe that the diagnosis is made, the
11 clinical diagnosis, and the objective and
12 subjective criteria is right there.

13 DR. MCNEIL: Sean, did you have a
14 question?

15 DR. SULLIVAN: Thanks for the
16 presentation, it was very good. I'm not sure if
17 this question is perhaps for you or perhaps maybe
18 even Karen, but you finished your presentation by
19 indicating that current surgical practice now is
20 tending towards more aggressive and very early
21 management of nonunion fractures using internal
22 fixators, casting more aggressively, et cetera.
23 Are there any data, case series data or anything
24 that would suggest to us what the healing rates
25 might be or the time to healing for this

00055

1 aggressive usual management practice without the
2 use of these devices or other adjunct therapies?

3 DR. CARMACK: I think anecdotally,
4 people that advertise themselves or go after a
5 nonunion market see a lot of these in referral,
6 and what we tend to see is an established nonunion
7 that was diagnosed a while ago but treated with
8 less aggressive therapy, maybe an adjunct modality
9 by itself, hoping that the problem would go away.
10 You know, that to me anecdotally has been a
11 position where we really end up with problematic
12 nonunions. A nonunion diagnosed early and managed
13 early tends to be less of a problem.
14 So, I guess I'm not aware of any
15 specific studies that look at that. I think just
16 from surgeon to surgeon experience, people who are
17 in a nonunion practice because they like that or
18 they feel that they have the ability to provide
19 service to their patients, I think that's what we
20 tend to see a lot of with typical fracture
21 patterns, not a full court press initially.
22 DR. MCNEIL: Linda, and then Alex.
23 MS. FRIED: You mean Leslie.
24 DR. MCNEIL: Leslie, I'm sorry.
25 MS. FRIED: Actually, I had a similar

00056

1 question regarding your final comment, and I'm not
2 a doctor on this panel. But when you talk about
3 aggressive treatment, I would like you to talk
4 about what, when you talk about taking a more
5 aggressive role, what exactly do you mean? What
6 procedures? A patient comes in, and let's say she
7 or he is over 65, or is under 65 and is disabled,
8 and therefore may have other comorbid conditions
9 just because of who they are, can you sort of walk
10 me through?
11 DR. CARMACK: It might open a Pandora's
12 box a little bit, but --
13 MS. FRIED: It's open.
14 DR. CARMACK: If someone is referred
15 for a nonunion, a less aggressive approach would
16 be let's watch it, let's see what happens, give it
17 more time, another six weeks, another six weeks.
18 And now you're at six months so perhaps a more

19 aggressive approach would be a more diagnostic
20 approach, CT scan, examine under anesthesia,
21 document instability at the nonunion site, and
22 from there offering early management to include
23 revision, fixation either external or internal,
24 bone grafting, the bio, the new proteins,
25 osteoinductive proteins, as well as the ultrasound

00057

1 or e-stim, kind of all of that at once or a
2 combination thereof.

3 DR. MCNEIL: Alex and then Ken.

4 DR. OMMAYA: My question is regarding
5 the site of injury. Does that play a role in your
6 choice of therapy approach? And then my other
7 question is in terms of combination therapy, is
8 that ever an option, for example, ultrasound and
9 electrical?

10 DR. CARMACK: A site is absolutely a
11 predictor of difficulty in healing a nonunion.
12 The tibia is a very difficult bone to heal. Bones
13 that have a less abundant blood supply tend to be
14 more problematic fractures and we tend to be more
15 aggressive in those bones, i.e. with surgery, than
16 we would in something like the femur, which is
17 very well vascularized. The location of the
18 fracture in the bone, as we alluded to, makes a
19 difference as well as far as the vascularity of
20 that.

21 As far as combination of modalities, I
22 personally don't mix ultrasound and e-stim. I do
23 mix ultrasound with bone grafting, e-stim with
24 bone grafting. I mix, you know, some autograft
25 with that, so yes, I mix them, but I don't mix

00058

1 those two.

2 DR. MCNEIL: One final question. Kim.

3 DR. KOVAL: It's more of a comment for
4 the panel, that we have to be careful that we just
5 don't start lumping nonunions into regular unions
6 and delays. If somebody has broken hardware and
7 they have a crooked leg, you don't want it to heal

8 just the way it is, so just putting an ultrasound
9 or electrical stimulator on is not an option
10 because the patient doesn't want the crooked leg
11 to heal that way, so you'd have to do surgery on
12 that patient. So it's sort of adjunct to surgery,
13 because surgery is going to be required to
14 straighten the leg up, so we have to remember that
15 as we go through these discussions.

16 DR. MCNEIL: Great, thank you. Okay.

17 Dr. Jones, and would you please indicate any
18 conflicts that you might have?

19 DR. JONES: First, I would like to
20 thank you for the opportunity to be here. My name
21 is Alan Jones, and I am the director of orthopedic
22 trauma at Baylor University Medical Center in
23 Dallas. Like Dr. Carmack, though, I was also in
24 Baltimore and the chief at Shock Trauma in
25 orthopedics up until a couple years ago, and I'm

00059

1 going to talk about the use of some of the
2 orthobiologics -- I'm sorry. I have been involved
3 in research in orthobiologics for more than a
4 decade and I have received institutional support
5 from both Wyeth and Medtronic.
6 So, this morning I would like to talk
7 about the use of bone morphogenetic protein in the
8 treatment of nonunions, and I would like to thank
9 Dr. Carmack for sort of an overview of what the
10 nonunion, I'm going to try and touch on that, give
11 you a two-minute synopsis of what a bone
12 morphogenetic protein is, or BMP is, and some of
13 the rationale for using it in some nonunions, and
14 then hopefully review the clinical evidence to
15 support its use in nonunions.

16 First off, you have already heard that
17 a nonunion is basically a fracture that has failed
18 to heal in an expected time, and depending on the
19 location, that may be a few months to even as long
20 as a year. And I think the other thing that you
21 heard is there is usually a period of time where
22 really nothing has happened and that can be for a
23 variety of reasons.

24 So to simplify, I think we can say that
25 some of them are for mechanical reasons, like this

00060

1 gentleman who decided he wasn't going to wear his
2 cast, he has a nonunion and a very malaligned leg
3 and when he walks, it just sort of bends when he
4 puts weight on it. Well, obviously we don't want
5 it to heal this way, and the fracture healing
6 hasn't happened because of the lack of
7 immobilization, and so he has a mechanical
8 problem.
9 As opposed to this patient with a
10 gunshot wound, a lot of scarring, poor
11 vascularity, gross motion of the fracture, and
12 just frank bone missing from the area of injury.
13 This patient has both a mechanical problem and a
14 biologic problem. There is no bone there, there
15 is not a healthy tissue environment to help
16 progress to healing.
17 In both of these patients, if we give
18 them no interventions, they are not going to heal,
19 pretty much no matter how long you wait. So for
20 the mechanical problems, we use mechanical
21 solutions, straightening out this gentleman's leg,
22 placing an instrument or a nail, allowing him to
23 weight-bear solves his problem and he goes forward
24 with a straightforward mechanical solution.
25 For most nonunions, however, many of

00061

1 them are biologic and have a mechanical element,
2 where it's not just a plate or not just a bone
3 graft, but a combination of a biologic
4 intervention such as bone graft and a plate to
5 provide stability, combine to provide treatment.
6 So most nonunions, at least in general, have both
7 a biologic and mechanical problem, and both have
8 to be addressed in most cases.
9 And then as Dr. Carmack pointed out,
10 infection is a big part of treatment and with an
11 established infection, that has to be eradicated
12 before you even contemplate the next intervention.

13 So what about BMPs? Well, bone
14 morphogenetic proteins are osteoinductive
15 proteins, they are found in all animals, and there
16 is very little difference between a rat BMP and a
17 human BMP, and they have a number of roles in bone
18 growth and development of your skeleton, and
19 cartilage development. But if you take a BMP and
20 isolate it, and put it in either an animal or a
21 human model, it will increase bone formation. So
22 if you place it in your body, it will make bone.
23 And how they do that is they basically
24 take or differentiate (inaudible) stem cell and
25 tell them to follow an osteoblast, along an

00062

1 osteoblastic cell line, primarily in the
2 osteoblast, so those cells turn into bone-forming
3 cells. Now, they have a number of other different
4 processes that will stimulate, including
5 (inaudible) systems and other things, but for the
6 most part they differentiate the cells.
7 So, they are available in a recombinant
8 form and so the rationale is that DBMs may be used
9 for osteoblast system differentiation, and provide
10 a biologic, not mechanical, but biologic stimulus
11 to promote healing in a nonunion for a fracture,
12 and of course they could be combined with other
13 modalities, either internal, external or bone
14 restorative issues, bone grafts.
15 So the question then is, do they work?
16 Well, as Dr. Schoelles pointed out, there are
17 currently two bone morphogenetic proteins
18 available in the United States, BMP-7, which is
19 marketed under the trade name OP-1, and BMP-2,
20 which is marketed under the trade name INFUSE. So
21 I'll try to take them separately, because I think
22 the evidence is separate on both of them.
23 BMP-7 is currently available for use in
24 the United States in nonunions under a
25 humanitarian device exception and is available for

00063

1 recalcitrant nonunions in long bones that have

2 failed treatment, and particularly in patients who
3 are not candidates for bone grafting or have
4 already failed bone grafting. So this is for a
5 subpopulation that is based on the Friedlaender
6 study that you have already heard some about,
7 basically a prospective randomized unblinded study
8 of established nonunions of the tibia, treated
9 initially by nailing and randomized to either bone
10 graft or OP-1. It was a multicenter study, and as
11 I think Dr. Schoelles alluded to and you may hear
12 from some of the others as well as the
13 investigators in this study, the tibial nonunion
14 fracture is a difficult thing to treat, it's a
15 difficult patient population, and recruiting 124
16 patients with typical nonunion and randomizing
17 them is a gigantic task. You have to understand,
18 this is not something you could go out and do
19 again.
20 They used a definition of a minimum of
21 nine months post-injury for the big range, no
22 healing over a three-month period, and they had to
23 be a candidate for a nailing or bone graft. There
24 was no randomizing to allow the patients to know
25 whether they have a bone graft or not, and the

00064

1 doctor knows whether he did it or not. They did
2 have a blinded radiographic analysis. They had a
3 number of different end points including
4 radiographic bridging on either side of the bone
5 or three out of four sides of the bone, or
6 probable need for retreatment as well as physician
7 and patient perception. They defined their end
8 point at nine months but followed the patients for
9 two years.
10 One of the questions that was brought
11 up was whether there was a difference between the
12 groups. When you look at severe open fractures at
13 higher risk for infections, it was not
14 statistically different between the groups. The
15 failed bone grafting, not statistically
16 significant. An amazing difference against the
17 OP-1 was the higher proportion of patients who

18 were smokers in the OP-1 group. There is not
19 specific information on external fixation as far
20 as I know that was reported.

21 So, how were their outcomes? Well, if
22 you look at some of the combined clinical gains,
23 weight-bearing scores, I think they are possible.
24 If you look at the blinded radiographic analysis
25 you can say, well, maybe there are some

00065

1 differences where an autograft is slightly judged
2 to have more bridging of bone than the OP-1. But
3 to me what makes the difference is patient
4 improvement, did the patients require another
5 operation or not, and basically it was either
6 slightly in favor of OP-1 or in reality,
7 statistically no difference between the two, so 90
8 to 95 percent for the OP-1 and autograft groups
9 respectively.

10 Now you also heard overall equal
11 numbers of serious adverse events, a much higher
12 rate of osteomyelitis at the nonunion site in the
13 autograft group compared to the OP-1 group, and of
14 course without a donor site, they had no donor
15 site adverse events, compared to 20 percent of the
16 other patients.

17 So, I think you can summarize that by
18 saying I think there is good evidence that OP-1 is
19 at least comparable to bone grafting in
20 combination with nailing in a very challenging
21 patient population.

22 I have one example from this study,
23 it's a 34-year-old male who had a nonunion going
24 on 33 months, he had a nail and bone graft, so he
25 failed all sorts of things, and here's his x-rays

00066

1 immediately following the nailing and the
2 placement of the OP-1. Here he is at nine months,
3 looking very healed, and at two years he was able
4 to have removal of his nail with a well-healed
5 fracture and a good result.
6 So to summarize OP-1, I think there is

7 good evidence that at least in the tibia, which is
8 probably the most challenging group, a very
9 challenging recalcitrant population, there is good
10 evidence to support its use at least as an
11 alternative to bone grafting as a biological
12 stimulus.
13 Well, what about BMP-2 or INFUSE? It's
14 currently FDA-approved for the acute treatment of
15 open tibia fractures as an adjunct to healing, and
16 that brings up really the concept of the nature of
17 nonunion, or preventing a nonunion. Tibia
18 fractures overall don't always heal, particularly
19 the group of open tibia fractures where the bones
20 come out through the skin, there's significant
21 soft tissue injuries and risk of infection, maybe
22 40 percent of that overall group will go on to
23 have a secondary surgery as treatment for either a
24 delayed union or nonunion. So not quite half, but
25 a big proportion of patients who come to us with a

00067

1 tibia fracture end up with delayed union or
2 nonunion and further treatment or surgery.
3 So this study, which has been published
4 in the Journal of Bone and Joint Surgery by
5 Govender and all, also known as the BESTT study,
6 basically looked at a big cohort of patients with
7 open tibia fractures and randomized them in a
8 prospective randomized single blinded study of
9 open tibia fractures in which they took 450
10 patients, three cohorts, and followed them for 12
11 months in about 49 centers worldwide. And
12 basically they took tibia fractures treated with
13 nails and then they made a treatment decision at
14 the time of wound closure and treated with one or
15 two doses of BMP-2 at the fracture site.
16 So here's what it looks like on a
17 sponge, here's one of my patients from a different
18 study, identical procedure, where we see a big
19 soft tissue injury, the bone is stripped, and
20 there is not a lot of soft tissue blood supply to
21 foster healing there. So you put the BMP-2 on the
22 sponge, you just put it around the fracture, and

23 then the rest of the patient's care is identical
24 to the patient that you're not treating.
25 Now, what the BESTT study found was in

00068

1 fact at every time point measured, there were more
2 patients healed in the higher dose BMP-2 group
3 than in the control groups and there were fewer
4 secondary interventions. And so to put it all
5 together, the proportion of the patients in the
6 control group that went on to secondary surgery,
7 it was actually about half, compared to 37 percent
8 in the BMP group, so it reduced the rate of
9 nonunion by about 29 percent, so there is some
10 evidence from this study for the use of BMP-2.
11 Secondly, there is a study that we
12 presented at an Orthopedic Trauma Association
13 meeting in 2004 that has been accepted but not yet
14 published in the Journal of Bone and Joint
15 Surgery, a prospective randomized comparing BMP-2
16 in combination with allograft, compared to
17 autogenous bone grafting for treatment of
18 traumatic bone loss associated with open tibia
19 fractures. This is a relatively small group of
20 tibia fractures where the surgeon determines that
21 the patient has enough bone loss like in this
22 example, so the patient is not going to go on to
23 healing, basically this is a nonunion that can be
24 identified at phase one. So most of these, or all
25 these patients have a planned intervention, bone

00069

1 grafting somewhere between the sixth and 12th week
2 period after injury.
3 Patients were randomized to either a
4 combination of BMP-2 with allograft or autogenous
5 bone grafting and again, called for nonhealing for
6 a year or more. Here is an example of a patient
7 with a tibia fracture. You can see there is a
8 fair amount of bone missing from the open fracture
9 and there is an open fracture with a gap all the
10 way around, so this patient without intervention
11 is not going to heal no matter how long you wait,

12 and so there's no reason to wait a year, and so
13 the surgeon decides I'm going to intervene with
14 some intervention to provide both bone and some
15 biologic stimulus. This patient was randomized to
16 the allograft, that's the allograft and the bone,
17 there's the BMP-2 on the sponge that you saw
18 placed over that, and then -- I'm sorry, we should
19 have had a picture where he went on to heal, but
20 I'll show you another one.

21 So in the BMP-2 group, 13 out of 16
22 patients went on to heal, there were two patients
23 that went on to secondary intervention, compared
24 to 10 patients in the autogenous bone group, and
25 four of them underwent secondary interventions,

00070

1 and one was not healed at the one-year period and
2 I don't believe, I don't know the status at this
3 point.
4 And here's an example of what this
5 patient showed. There he is after his injury and
6 before any treatment, and there he is at 12 months
7 with a healed fracture. And I think if we look at
8 this close-up view, it's pretty interesting to me
9 that you can take something out of a bottle, put
10 it in there, have bone formed, fracture healed and
11 restore those muscles. So I think from that
12 study, I think comparing BMP-2 in combination with
13 allograft, we had comparable rates of healing. We
14 had, because there is not a donor site just like
15 in the Friedlaender study where there is no donor
16 site, we had less blood loss, shorter surgery
17 duration, and you're doing less surgery, and I
18 think this is a reasonable alternative to bone
19 grafting.

20 So, how are BMPs being used in the
21 United States? Well, to me, the current rules
22 provide a biologic stimulus in selected nonunions.
23 I think that orthopedic surgeons tend to use
24 either one of these BMPs for their most difficult
25 or recalcitrant cases, they are not the chip

00071

1 shots, and this would include those patients who
2 are both elderly and in the Medicare population,
3 but would also include patients who are not
4 candidates for bone grafting for whatever reason,
5 they already had a bone graft, they are
6 osteoporotic, or at risk for other reasons. Just
7 as Dr. Carmack pointed out, it is not a substitute
8 for correcting the mechanical environment or other
9 things. If you haven't gotten rid of infection,
10 if you haven't stabilized the instability, it
11 doesn't matter what you put in there, it's not
12 going to work.
13 And I think overall, to summarize the
14 orthopedic surgeons' common experience nationwide,
15 is that it's overall positive but still considered
16 anecdotal. But I will reiterate, we tend to use
17 them for our most difficult cases.
18 This is an example of a 54-year-old
19 female, this lady had had probably at least 11 or
20 12 surgeries for her femoral nonunion. She'd had
21 bone grafting, electrical stimulation, ultrasound,
22 electrical stimulation with a variety of different
23 devices, and has a persistent nonunion. It's
24 obvious she doesn't have a lot of mechanical
25 instability or mechanical misalignment, she just

00072

1 doesn't have any biology, and she has been going
2 on more than two years, actually probably two
3 years since this nail was put in, before she came
4 to me. So I did a replacement of her nail,
5 primarily because I was afraid her nail was going
6 to fracture after two years of being loaded, and
7 then a placement of BMP-2 in this case, and that
8 was just enough biology stimulus to get her to go
9 on to heal. She is now walking pain-free and
10 doing well.
11 So to summarize, I think fracture
12 nonunions, as stated by Dr. Carmack and myself,
13 requires individualized treatment depending on the
14 mechanical, biologic and infectious processes
15 presented. I think BMPs as a group create an
16 efficacious biologic method for the treatment of

17 nonunion to promote healing, and I think it's an
18 alternative to autogenous bone grafts.
19 So, I will finish with that, and I will
20 be happy to answer questions.
21 DR. MCNEIL: Thank you, Dr. Jones, that
22 was very nice. Are there questions? Yes?
23 DR. AKLOG: Given the results of the
24 Friedlaender trial, it seems that OP-1, you know,
25 was comparable to autogenous bone grafting and you

00073

1 had the benefits of avoiding the harvest site.
2 Why do you think the manufacturers were unable to
3 achieve a broader approval from FDA?
4 DR. JONES: I don't want to speak for
5 the manufacturers and I think we may hear from
6 some of them later. My impression was that the
7 FDA put a lot of their focus on the blinded
8 (inaudible) analysis, which was, it favored
9 autogenous bone grafting. So I think if I can
10 summarize, the FDA felt it was safe, but they
11 didn't really look at superiority, and so they
12 basically said well, for those patients who can't
13 have a bone graft, this is a reasonable
14 alternative. What they didn't say is what to tell
15 the patient who doesn't want a bone graft and
16 which probably doesn't need one.
17 DR. AKLOG: Just as a follow-up, does
18 that mean a significant portion of the use is
19 occurring off label?
20 DR. JONES: The difficulty is with the
21 HDE as I understand it, off-label use is really
22 not allowed, you need to go through your
23 institutional review board, you need to meet the
24 criteria in the labeling to be able to satisfy
25 your IRB. So, does any off-label use occur? I'm

00074

1 sure it does. I personally am unwilling to use it
2 off label. Of course the other side of that would
3 be if you have an acute indication, you use that
4 for a nonunion, it is off label, and of course
5 we're doing a lot of things off label, but I am

6 not going to tell my IRB we are doing one thing
7 and then do another.
8 DR. KIRKPATRICK: Alan, thanks for that
9 presentation. Could you give us what you feel are
10 the specific indications for those two products,
11 the BMP-2 and BMP-7? You presented one that --
12 well, actually both of them, some of it was acute
13 and some of it was nonunion, so can you tell us
14 whether there is data to back up, for example,
15 INFUSE in a nonunion model as opposed to an acute
16 fracture model, and if so, what are the specific
17 criteria for that, and the same thing for the
18 OP-1.

19 DR. JONES: As I said today, other than
20 that sort of (inaudible) patient with a tibial
21 defect that I presented, there's not a good study
22 with good, you know, type one evidence to show
23 efficacy of BMP-2 for nonunion. So right now,
24 although I use BMP-2 in nonunion treatment, I
25 don't know, there is no clinical evidence that I

00075

1 can show you a series for. I'd put together a
2 series of, you know, a couple hundred tibial
3 nonunions with reasonable similarity between
4 groups, but randomizing something in the face of
5 the bone grafting is a very difficult model to do.
6 It's probably ahead for the manufacturers of
7 BMP-2, but it hasn't been done yet.

8 DR. KIRKPATRICK: If I could just
9 clarify, I was asking also for your expert opinion
10 on what you think would be reasonable use
11 indications for a nonunion for each of those
12 products.

13 DR. JONES: I think for me, what's
14 reasonable use for either one of these products is
15 very similar. So for BMP-7, OP-1, it's
16 essentially on-label use, so it's a long bone
17 nonunion failed treatment in a patient who is
18 either not a candidate for or has failed bone
19 grafting, so on-label use to me is appropriate for
20 BMP-7. On-label is appropriate use in a nonunion
21 for BMP-2 as well, so a patient with a nonunion

22 who needs biologic stimulus or maybe has a bone
23 defect who needs restoration, who either does not
24 want, can't have or has failed a bone graft, and
25 most nonunions are tibial, maybe with secondary

00076

1 femur and humerus.

2 DR. KOVAL: Alan, would you consider a
3 nonunion in an elderly person an indication for
4 using OP-1 because they do have a bone graft, but
5 going to the iliac crest on a 70-year-old person
6 is rather unfulfilling, because there's nothing
7 there. So, would you consider that as one of the
8 indications where you could use OP-1 because even
9 if the person has a bone graft, it's not usable?

10 DR. JONES: I personally would consider
11 the elderly population or the osteoporotic
12 population regardless of age as someone who is not
13 an ideal or suitable candidate for autogenous bone
14 graft. So whether, I think you said 70, I think
15 in an older patient who, the blood loss associated
16 with a bone graft and the pain and morbidity of it
17 and the reality is if you go inside their pelvis,
18 there's nothing in there but a little fat and a
19 lot of bleeding, what you get out is not, to me
20 not as efficacious and the risks to the patient
21 are higher. So to me, I think that patient
22 population puts you in that more likely to use a
23 biologic such as BMP-2 or 7.

24 DR. PHURROUGH: Alan, this sort of
25 expands on that same question. If you've got this

00077

1 osteoporotic bone which typically is going to be
2 the iliac crest, is that osteoporotic bone in the
3 elderly patient going to respond to one or any of
4 these? Is there evidence that says you're not
5 going to get an old osteoblast to do the same
6 thing as a young osteoblast?

7 DR. JONES: It's a good question and
8 again, I think I can summarize, and Scott Bowden,
9 who has done more work on this than I have, and he
10 and I have talked about this quite a bit. But

11 what we can say is if you look at elderly rodent
12 studies or in the handful of patients who were in
13 the elderly population and have been treated in
14 any of the studies, there is no evidence. Obvious
15 their healing potential is somewhat diminished,
16 the older you get, the less everything works, to
17 conclude in your fracture healing, but there is no
18 evidence to suggest that with BMP-2 or 7, you get
19 less effectiveness in an older population. The
20 bones seem to respond to that in the same way. To
21 me, I sort of look at it in the other direction.
22 They are the group that needs more stimulus
23 because they have less on their own.
24 DR. BURKE: I just want to focus a bit
25 on some of the control population, so in the

00078

1 Sharrard study and unpublished data, it looked
2 like 30 to 50 percent healed without further
3 treatment, in the unpublished study. Then in the
4 INFUSE, 50 percent in the controls, and then in
5 your study, 10 to 15 healed with the graft, and of
6 course Friedlaender showed that grafts worked
7 quite well, that's the bottom line of their study.
8 So what is the gold standard here, in
9 other words, what are we comparing against? It
10 looks like, you know, even the controls were
11 getting some pretty good results.
12 DR. JONES: Well, remember that the
13 INFUSE study was for acute tibia fractures
14 without, talking about the BESTT study, without
15 bone loss. So these patients were felt to have a
16 reasonable chance of healing, they weren't
17 nonunions, they were acute fractures but they were
18 in a high risk group. Now in Friedlaender's
19 study, to me, none of those patients without
20 intervention, they had already failed, if you did
21 nothing to them, not a single one of them would
22 have healed. You have to understand that bone
23 grafting is a big deal.
24 DR. BURKE: Is that the gold standard?
25 In other words, what are we comparing this to?

00079

1 DR. JONES: Well, probably autogenous
2 bone grafting in combination with whatever
3 mechanical stabilization is the gold standard.

4 DR. BURKE: So that's what we should
5 judge things against at the end?

6 DR. JONES: Yes, but you have to
7 understand now, that gold standard has a lot of
8 morbidity.

9 DR. MCNEIL: Okay. Kim and Mark, and
10 then we'll break.

11 DR. BURCHIEL: This question might be
12 better for Dr. Schoelles from the TA, but I'm
13 trying to find the BESTT study in your analysis.
14 Is it there and I'm not seeing it?

15 DR. SCHOELLES: Not as in the
16 adjective, the acronym is what you're talking
17 about?

18 SPEAKER: No, that was fresh fractures.
19 The only thing you'll find in our TA is on
20 nonunion.

21 DR. MCNEIL: Mark.

22 DR. FENDRICK: Along those same lines,
23 I am very encouraged to see prospective randomized
24 trials, but as Dr. McNeil knows, I am particularly
25 troubled by your final slide and final point that

00080

1 your experience is overall positive, and this is
2 what I come to. Why is that? I think that given
3 the lack of uncertainty of some of these
4 interventions, I find that the reviewer of the TA
5 says these things are hard to do, and I know you
6 just finished one which is very impressive and
7 certainly contributes a lot to the literature. I
8 think that if you were committed as a field to
9 tell your patients you have a lot of questions
10 that need to be answered, and to answer them you
11 need to appropriately control your studies, you
12 probably would get enrollment. Are they just
13 saying they won't do it?

14 DR. JONES: Well, you have to
15 understand that in many cases they are saying they

16 won't do it. You also have to realize that most
17 of these patients have already had a number of
18 surgeries. For most patients, anybody who has
19 ever had a bone graft, it's very difficult to talk
20 them into another one, because they are very
21 painful.
22 So to take, like I said, the
23 Friedlaender study, they had 124 tibial nonunions
24 to be treated. The treatment was the same
25 fixation and randomizing the group was a huge

00081

1 undertaking. So that's not like cardiac surgeons
2 or thoracic surgeons or something, and if you
3 remember, this is a population of open tibia
4 fractures, and we had a nice study that
5 (inaudible) published, and this is a challenging
6 socioeconomic and behavioral group. The majority
7 of the patient score in the low to extremely low
8 (inaudible) do anything either, so it's a very
9 challenging group of patients. So can it be done,
10 yes. Will it be done? The reality is you've seen
11 all of the randomized controlled studies to date,
12 there is just a handful of others. So it's not an
13 easy undertaking and unfortunately, it takes a
14 gigantic organization and resources to accomplish
15 that.

16 DR. MCNEIL: That seems to be a common
17 problem, as Mark has identified several times.
18 I would like to thank the speakers from
19 this morning, I think they all did a spectacular
20 jobs in presenting a very complicated bit of
21 information to us, or bits of information. What I
22 would like to do now is take a break and really
23 get back here at 10:15. Otherwise, I'm worried
24 that we won't get through all the planned
25 speakers. So thank you very much, back at 10:15.

00082

1 (Recess.)
2 DR. MCNEIL: Why don't we get started.
3 We have three members of the committee who would
4 like to amplify their conflict of interest

5 statement and the fact that they were contacted by
6 some industrial representatives, so, let's see,
7 Linda, did you want to add something?
8 DR. BERGTHOLD: Yes. As the consumer
9 representative I'm allowed to be contacted and
10 respond; is that right?
11 DR. MCNEIL: Yes.
12 DR. BERGTHOLD: So I was and I did. I
13 was contacted by John Gould, of Arnold & Porter.
14 Is it Gould or Gold?
15 MR. GOULD: Gould.
16 DR. BERGTHOLD: Representing, not Smith
17 & Hockett, Smith & Nephew.
18 DR. MCNEIL: And Kim, you also were
19 contacted?
20 MS. KUEBLER: You have the contact, I
21 don't have that. I don't have it with me. I was
22 contacted this week but I don't remember the name.
23 I can get it for you if you need it.
24 DR. MCNEIL: Okay. We'll put that in
25 the record. And Deborah Shatin.

00083

1 DR. SHATIN: I would like to make a
2 correction, that I have stock in Medtronic and
3 also J&J. Thank you.
4 DR. MCNEIL: Thank you for clarifying
5 that. So here we are for a kind of jam-packed
6 session. We have Randy Davis from Osteotech as
7 our first speaker. We have six scheduled
8 speakers, actually seven, but there's going to be
9 a combination with one person giving two talks,
10 and each speaker will have eight minutes, and will
11 be cut off regretfully sharply at eight minutes,
12 whether you're at slide one or slide 30. So,
13 thank you. Dr. Davis.
14 DR. DAVIS: Good morning. I'm Randy
15 Davis. I am up here in Baltimore, I work at Johns
16 Hopkins, and I work at the Baltimore-Washington
17 Medical Center, a community hospital by the
18 airport.
19 I'm here to talk a little bit about
20 bone fractures, fracture nonunions, and the use of

21 alternatives. The other speakers talked about
22 problems associated with autograft and I share
23 that concern. 30 to 40 percent of patients,
24 almost of any age, who face autologous bone grafts
25 have significant pain and disability, and we don't

00084

1 have any good reason for this, so I think we have
2 been looking for better alternatives for a long
3 time.
4 Fracture nonunions, Dr. Jones spoke
5 about, they require a number of things. They
6 require carpentry and they require chemistry, the
7 body healing. You can be a good carpenter but if
8 you don't have the right biology, these fractures
9 unfortunately will not heal. It requires several
10 things. It requires cells, it requires a matrix
11 to support the bone to be able to grow there, and
12 then it requires signals or what I call the seeds.
13 We have a variety of things becoming available now
14 but it's incumbent upon us, as you all pointed
15 out, to basically prove that these things are
16 working.
17 And the growth factors, there's a
18 variety of ways they could be established but in
19 demineralized bone matrix, which is one of the
20 things I've used for many years now, there are
21 growth factors, there are bone morphogenic
22 proteins and are other growth factors in
23 demineralized bone matrixes that have been
24 prepared.
25 There are a variety of studies, most of

00085

1 which as you all pointed out here, because I'm a
2 big believer in evidence-based medicine, most of
3 these are retrospective studies and case series,
4 this is what it has been based on for a hundred
5 years, but we're trying to do better and we have
6 to use a triangle.
7 But these studies basically talk about
8 the use of demineralized bone products to treat a
9 variety of difficult conditions. Virtually all of

10 these have shown healing to a certain degree, but
11 they are not done in prospective fashion. It has
12 been to a point, though, where almost all
13 orthopedic surgeons that I know who use and treat
14 fracture nonunions and spine surgery, they
15 virtually are all using demineralized bone matrix
16 in some form or fashion.

17 It's our job here also, for me as the
18 director of the spine center at the hospital where
19 I work, to think about cost as well, and a number
20 of the products that you hear about today are
21 very, very expensive. I think that's one of your
22 concerns.

23 Osteoinductivity is the ability to grow
24 the bone, and I use this slide to show what I call
25 the triangle of evidence. In talking about

00086

1 research, one of the best ways we have, since it's
2 very difficult to do prospective blinded studies
3 on humans, you have to do that in lower models.
4 So you can start out in cell or test tube, move up
5 the triangle to rabbits, rats, move up to
6 primates, and we found these to be very effective,
7 and many of them can mimic the model of a human.
8 So for example in this model, you've
9 got a rabbit lateral spine model where if you put
10 the material that's not inductive, you have
11 virtually no healing, but if you use an inductive
12 product such as Grafton, then you have comparable
13 healing, which is like 60 percent, which is just
14 like the control if you use only autografts, and
15 that has been shown in several series.

16 If you take an interesting study done
17 by the folks from Europe where they operated on
18 patients who had what are called Coventry
19 osteopathies, they have arthritis, you basically
20 take a wedge out of the bone when they have
21 arthritis, and then you also have to take a chunk
22 out of the fibula so that you can close that down,
23 so they want that to heal eventually. And
24 basically they were able to randomize a variety of
25 products. They could put in collagen alone, they

00087

1 could put in demineralized bone products, or a
2 variety of things, or BMP and OP-1, which shows
3 over a period of time here, if you put nothing in
4 there, nothing grows, that's your control. If you
5 put BMP, yes, there is a lot of bone but the
6 mechanism is different, and we can see that when
7 you use demineralized bone matrix that forms from
8 the center as opposed to a BMP product which
9 calcifies from the periphery.

10 So there are a variety of papers that
11 have been presented at a variety of meetings,
12 trauma associations to discuss specifically
13 demineralized bones. In your white paper, which I
14 was quite impressed with, the very detail, they go
15 through a number of these, and describe them as
16 not being class one or two studies, and that is
17 indeed true. But I think we have to have decent
18 papers to proceed with the prospective studies,
19 and it will give us as orthopedic surgeons the
20 ability to go ahead and proceed to decide what's
21 best for our patients.

22 For example, here's a study that
23 compared OP-1 with Grafton, which is a
24 demineralized bone matrix, in that human fibula
25 defect that you talked about. It's actually 24

00088

1 patients, and there are four groups as it says,
2 blinded radiologic analysis at a variety of time
3 phases. The amount of bone and bone density in
4 the groups as you follow them over time, it
5 appeared very, very soon, but it obviously
6 continues to increase in both the BMP group and
7 the demineralized bone matrix group.

8 There are significant issues associated
9 with the economics of using these products in the
10 hospital, and I think that's something you all
11 will have to address as time goes on.

12 Here's an example of that slide to show
13 you. Indeed, BMP products will form bone in my
14 experience clinically, they form in a different

15 physiologic nexus, and there is some calcification
16 on the periphery as opposed to when using
17 demineralized bone matrix products, which are
18 usually used to fill a void or a defect as a bone
19 graft extender, and it forms in different fashion.
20 So, retrospective, there are a number
21 of problems, but it's a big group. Even in
22 smokers when you do blinded radiologic
23 evaluations, it will help, but in a variety of
24 studies, you can get overall healing with up to 87
25 percent of patients in a trauma model and with

00089

1 nonunions up to 91 percent, which is better than
2 no treatment alone, and certainly comparable to
3 autologous studies.
4 So, I'm getting the red light, but
5 again, here are groups using demineralized bone
6 matrix products that have been presented. So I
7 believe that it's incumbent upon us, and everybody
8 has said it's very difficult for anyone, and
9 especially Medicare patients, to take iliac crest
10 autografts. My goal is not to take any by the
11 time I finish my career, and I have been doing
12 this for 25 years. I think that we can use
13 demineralized bone matrix products as an adjunct
14 and extender, and hopefully not use the patient's
15 own bone. We all know the risks of failure and I
16 think we have issues of economics which have to be
17 pursued at meetings such as this. I thank you all
18 very much.

19 DR. MCNEIL: Thank you very much, Dr.
20 Davis. Before you go, I neglected to ask you for
21 your affiliations and potential conflicts with
22 regard to this presentation. I notice that you
23 are representing a company?

24 DR. DAVIS: Yes, I am here speaking for
25 Osteotech, which makes the Grafton demineralized

00090

1 bone matrix product. I'm also a consultant on the
2 speaker bureau for Medtronic.
3 DR. MCNEIL: Okay. And just to clarify

4 for new members of the committee, while you did
5 mention cost as something that we need to
6 consider, that is not something we're allowed to
7 consider.

8 DR. DAVIS: I apologize.

9 DR. MCNEIL: At least not today. So

10 it's nice information to have, but just so that
11 everybody is clear, we will not be considering
12 relative costs for any of these materials, all we
13 are looking at is the evidence and its
14 effectiveness. So, thank you very much.

15 Dr. Dickson, please.

16 DR. DICKSON: Well, I'm particularly
17 grateful to be here. It's the first time I have
18 left southeast Louisiana since Katrina and the
19 first time I have slept on a real mattress in over
20 30 days. I have no conflicts of interest, but
21 Stryker OP-1 will pay for this trip, hopefully.
22 I'm going to basically talk about
23 treatment of nonunions and specifically bone
24 morphogenetic proteins. I'm a professor at Tulane
25 as well as chief of orthopedics at Charity

00091

1 Hospital Trauma Center and Tulane, but I'm not
2 sure where that stands right now.
3 This is who I am. I think the
4 important part is that I'm a referral physician, I
5 don't take any primary care, I get other
6 orthopedic surgeons that send me my cases, and as
7 Dr. Koval and Dr. Jones maybe can attest, there is
8 probably nobody in the world that treats more
9 nonunions than I do, between 30 and 50 a year.
10 How do I look at nonunions? Well, most
11 fractures do heal. Some of the questions, I mean,
12 they're all good questions, but they are
13 difficult. Most fractures do heal. I think there
14 is an important distinction between delayed unions
15 and nonunions. If I had a delayed union that may
16 potentially heal or there's comorbidities
17 associated, out of all the studies there's only
18 one study that I quote in that Sharrard study, and
19 I know that's controversial.

20 But these people were not operated on,
21 they were treated with a cast, and they went from
22 a 30 percent success rate to a 50 percent. Not a
23 great success rate, but in those patients that
24 aren't ready for an operation, that's what I
25 possibly could do for them. In those other

00092

1 patients that go on to a nonunion that aren't
2 going to heal, those generally need some kind of
3 fixation and bone graft, that's the gold standard.
4 Those people that have failed that treatment, with
5 a recalcitrant nonunion, those are the ones that I
6 believe are the ones that are important. So for a
7 nonunion, I don't use any of the other devices.
8 I think that you have to be careful,
9 the literature is very confusing, because a lot of
10 times they will give you something, you use it for
11 three months, you say it doesn't work, you take it
12 off, you do surgery. Yet, the paper comes out
13 with a 90 percent success rate and there is no
14 information because there are criteria that the
15 patient has to use it for four months, and there
16 is no intention to treat or that denominator
17 that's so important.
18 These are all the good things that you
19 want when you treat a nonunion, and what I've
20 emphasized or left out is the demineralized bone
21 protein. That has an order of ten to the sixth
22 less material than BMP-2 and BMP-7, so these are
23 the same as autografts, but BMP-7 is the only one
24 that's FDA-approved for recalcitrant nonunions.
25 When I think of nonunions, I think of

00093

1 the mechanical treatment and the biological
2 treatment. In elderly patients, both of those are
3 a problem, so the BMP basically gives me the
4 biological stimulus that I may need in these
5 recalcitrant nonunions.
6 This is essentially the FDA report and
7 what I want to emphasize is, this is approved for
8 recalcitrant nonunions, that is our purpose here.

9 This is, you have heard enough about this
10 Friedlaender study, it was a very difficult study
11 to do, but essentially their conclusion was that
12 OP-1 offers the advantage of highly inductive
13 molecules, an excellent safety profile, and lack
14 of donor morbidity, and these are just some of the
15 slides that you've seen already.
16 Interestingly enough, my personal
17 opinion is that there is something specific about
18 this that we need to evaluate. It has been shown
19 both in the BMP-2 and the BMP-7, there is some
20 protective thing happening with infection and
21 that's something that needs to be looked at
22 further.
23 In terms of the elderly, the problem is
24 that when you go to the iliac crest and all that's
25 in there is fat, and in those cases where there is

00094

1 not really bone graft available, I think the OP-1
2 is really a must for some of these nonunions.
3 I'm going to go through some of my own
4 case studies. This is an 82-year-old, bad
5 osteoporosis, two previous failed surgeries with
6 bone graft. We did a definitive fixation with a
7 locked plating and OP-1. There was presence of
8 callus at seven weeks and full weight-bearing by
9 six months, and you can see the ten-month x-rays
10 of that.
11 This was a study done from Canada by
12 McKee and what you see here are seven of them. We
13 have over 30 nonunions of the humerus, a common
14 problem in the elderly, and this patient had four
15 surgeries, nonunion for 66 months, which is quite
16 debilitating. And his conclusion was OP-1 does
17 not require an additional operative site and was
18 found to have a lower perioperative risk in terms
19 of blood loss and rate of infection. This is of
20 particular importance to patients of advanced age
21 suffering from osteopenia and other significant
22 medical comorbidities.
23 This is one of my first patients when I
24 got to Tulane about ten years ago. He is a

25 35-year-old with 17 previous surgeries for

00095

1 everything that you can imagine, scheduled for an
2 amputation. Treated it with OP-1 in 1995 and in
3 six months he began having pretty good callus, he
4 was full weight-bearing by nine months, and here's
5 his ten-year x-rays of follow-up.

6 In conclusion, like many of the private
7 and governmental payers, I think OP-1 is very
8 important for the recalcitrant nonunions. I think
9 it's especially important in those patients that
10 don't have bone grafts, some of the elderly who
11 don't have good bone graft, those patients that
12 are high risk for failure as Dr. Jones talked
13 about.

14 Sometimes you have to remember what our
15 treatment goal is. My longest patient had a
16 20-year nonunion with 17 different surgeries, and
17 these groups of patients are really disabled, and
18 they are probably my most appreciative patients
19 and in the meantime they are very important, but
20 to get them back to independent mobility is a real
21 goal. Any questions, I can take them.

22 Unfortunately, this number is under water, so if
23 you guys want to take down my cell phone number,
24 it's the same area code, 628-3352. Thank you.

25 DR. MCNEIL: Thanks very much,

00096

1 Dr. Dickson. I think what we'll do is just move
2 through all of the speakers and then if we have a
3 couple minutes left at the end of the scheduled
4 public comments, we'll take general questions. We
5 will move on to Dr. Laurencin from Virginia.

6 DR. LAURENCIN: Thank you. I want to
7 thank the Medicare Coverage Advisory Committee for
8 allowing me to speak today. I'm a professor of
9 orthopedic surgery and also a professor of
10 engineering at the University of Virginia.

11 DR. MCNEIL: Don't forget any potential
12 conflicts.

13 DR. LAURENCIN: I want to disclose that

14 I have been a consultant for almost every major
15 orthopedic device company, and I and my partner
16 receive research grants from Stryker, Zimmer, and
17 a few other companies. I also own stock in the
18 Zimmer companies. I'm also on the board of
19 directors for a company called Orthopedics
20 Technology, and (inaudible) Company paid my travel
21 expenses today.
22 What I would like to do is bring you
23 some of the high points of what should be in your
24 binder. There is a binder of information that has
25 been presented which has papers and also copies of

00097

1 my presentation that I believe should have been
2 submitted to the committee, and in the time I
3 have, I want to review some of the high points.
4 The first high point is the current
5 status of ultrasound. My belief is that the more
6 one knows about ultrasound, the more one
7 appreciates its importance and power. The three
8 points that I want to make there is, one, there
9 was a large body of evidence which was recently
10 presented to CMS, and as a result of that they
11 expanded the use of ultrasound for the treatment
12 of nonunions.
13 Now why do I believe the coverage
14 should be expanded even more? There are two
15 reasons. First, there is an extensive amount of
16 research showing that ultrasound accelerates all
17 phases of fracture healing. And second, there's
18 excellent clinical data demonstrating that
19 ultrasound accelerates all phases of the fracture
20 healing process. With two placebo prospective,
21 placebo-controlled randomized double blinded
22 multicenter studies, the FDA (inaudible) in 1994
23 for acute fractures. Working with the FDA, three
24 prospective multicenter self-paired control
25 studies were conducted consistent with the FDA's

00098

1 guidance options for determining future efficacy
2 for fracture nonunions.

3 There has been a lot of discussion
4 about a randomized controlled trial. I just want
5 to make it very clear that in the case of
6 ultrasound, the company went to the FDA and
7 utilized the guidance document for industry for an
8 established nonunion fracture study, and I think
9 there is a copy of that in your binder. That
10 guidance document states, in a clinical study to
11 evaluate the efficacy of a bone graft device for
12 treating established nonunion fractures, the
13 patient may serve as his own control. It was with
14 that guidance document that the studies that were
15 conducted by the Old Town companies to determine
16 the efficacy of ultrasound.
17 And third, again, in 2000 the FDA
18 provided an approval for nonunion.
19 So I'm going to move through a number
20 of other areas, because I think Dr. Carmack and
21 Dr. Dickson actually talked about the control cuts
22 very well, in terms of whether nonunions are a
23 problem. We know they are, and what I would like
24 to do is talk about how we're working on them
25 clinically. Again, we know nonunions are a

00099

1 specific problem and we also know that in the
2 elderly Medicare population, it has been
3 recognized that noninvasive techniques can have an
4 important advantage for patients. We know, again,
5 from what Dr. Dickson stated, that from the
6 patient's perspective, that there are break points
7 in terms of quality of life.
8 We talked about nonunion definitions
9 and I'm not going to go into these areas. And
10 we've also, I think, touched upon fractured
11 healings and nonunions in terms of different
12 stages that occur. Where does ultrasound affect
13 the healing process? Well, the answer is it
14 affects the healing process at every level, and
15 there is a great body of basic science
16 information, really a very broad base of science
17 information on this area, from the (inaudible)
18 proliferation to the areas involved in enhancing

19 (inaudible) with vitamin D, (inaudible) synthesis,
20 and stimulating exercise making it turn over. So
21 there is really a very, very nice body.
22 There's some new work that has
23 demonstrated that EXOGEN or ultrasound can
24 accelerate the patient's healing process and this
25 is summarized in a large number of papers

00100

1 published over the last ten years. Now just to
2 take a step back, when we talk to you about low
3 intensity ultrasound, we mean 1.5 megahertz of
4 mechanical pressure wave; it's low intensity, it's
5 safe, it's similar intensity to fetal ultrasound,
6 and it's of course much lower than physical
7 therapy ultrasound.
8 How does ultrasound work? Now, I have
9 a CD that's also included in your materials that
10 has a summary of the mechanisms of action, but
11 again, it enhances the normal activity. Pressure
12 waves are transmitted through the skin and soft
13 tissue. Shear waves are then transmitted to the
14 bone and then a number of different mechanisms
15 that we detailed before take place, which again
16 enhance the normal intracellular process to take
17 place. And again, the mechanism of action is
18 summarized in the CD that was sent to you.
19 I need to emphasize again that in April
20 of 2005 we went to CMS, Smith and Nephew went to
21 CMS, and a detailed review of all the clinical and
22 scientific data was performed, and that resulted
23 in an expanded nonunion coverage, and that
24 expanded nonunion coverage was a caveat that
25 surgical procedures did not need to be performed.

00101

1 And so recently, I had a review of the ultrasound
2 therapy with CMS and that's actually resulted in
3 the broadening of the coverage of ultrasound.
4 Also interestingly in terms of the
5 orthopedic community, I recently moderated a
6 session at the (inaudible) society which included
7 orthopedic surgeons, to examine the evidence.

8 There was one question. Is the evidence
9 compelling in terms of to support (inaudible) for
10 fracture healing and again, in a live audience
11 preimposed, over 80 percent agreed that it was.
12 Now, what I would like to do now is go
13 through the questions and talk about some of the
14 questions that you will be facing today. The
15 first question is how will this current scientific
16 evidence support well-defined indications in the
17 use of these technologies? In terms of
18 ultrasound, I believe it's high confidence.
19 For the PMA, an extremely rigorous
20 review was performed, over 5,000 subjects were in
21 the PMA registry with three or four very, very
22 large trials. These were expert reviews, publicly
23 available, expert reviewed by the FDA, and peer
24 reviewed literature. Again, case controlled
25 studies that were consistent with the data and

00102

1 consistent with the draft guidance document that
2 was utilized for the study. In terms of core
3 data, again, high healing rate, 80 percent healing
4 rate, all bones, all fracture types, all
5 fixations, and again, these fractures that were
6 enrolled were true established nonunions, 21
7 months since fracture, and at 15 months an average
8 of 2.4 had prior failed intervention, and we got
9 80 percent. And we were looking at nonunions that
10 really had not healed, not delayed unions three to
11 four months out that could heal, did not heal, but
12 at long-term nonunions with other procedures that
13 were performed.
14 We examined other data that was great
15 evidence in terms of use by the Medicare
16 population, 80 percent heal rate in terms of
17 Medicare population. A number of different bony
18 areas were in this described area. Almost every
19 bony area has shown success using ultrasound, and
20 also in terms of multiple fracture sites and all
21 different patient types in terms of the use of
22 these areas.
23 The peer reviewed literature of

24 nonunions is particularly robust in terms of
25 self-care control, again, through the FDA, at

00103

1 least in terms of their discussions. But as I
2 say, there is also robust information about fresh
3 fractures in terms of fresh fracture indications,
4 and again, to say that we're not ready to do a
5 great amount of randomized trials, we've done them
6 for the fresh fractures, but for the others we
7 have not.

8 DR. MCNEIL: One more minute,

9 Dr. Laurencin.

10 DR. LAURENCIN: One minute, thank you.

11 In terms of how confident are you in terms of
12 outcomes based on the evidence, high in terms of
13 the low morbidity and also safety, radiographic
14 healing was being performed. In terms of
15 confidence in terms of the biological enhancement,
16 high in terms of these areas.

17 I just want to close with the, again,
18 in terms of the number three, the positive health
19 outcomes, again, the 80 percent healing rate that
20 we demonstrated shows that. And again, in terms
21 of the Medicare population, again, I think it's
22 very important, in terms of generalizing fracture
23 types it's very likely, because we've demonstrated
24 so many different fracture types that are there,
25 and also in terms of nonunions, in terms of

00104

1 providers and in terms of the Medicare population,
2 as I've shown. And so again in summary, I think
3 that ultrasound has demonstrated itself to be
4 outstanding for nonunion, demonstrated outstanding
5 efficacy, it's FDA-approved, and recently came to
6 CMS for an additional indication. I think the 20
7 minutes per day factor is very important in terms
8 of ease of use, and it has an excellent safety
9 profile. Thank you.

10 DR. MCNEIL: Thank you very much, Dr.

11 Laurencin, for that rapid run-through. Let's see.

12 We now have Dr. Marotta, and I gather he is

13 presenting for two people; is that correct, Dr.
14 Marotta?
15 DR. MAROTTA: Yes, it is.
16 DR. MCNEIL: So maybe you can indicate
17 what you're doing and your conflicts, potentially
18 for both you as well as for Dr. Kuklo.
19 DR. MAROTTA: Certainly. My name is
20 James Marotta. I work for Medtronic, a
21 manufacturer of these products. Dr. Kuklo was
22 scheduled to give a presentation as well, he works
23 for Walter Reed Army Hospital and has no conflicts
24 that I'm aware of.
25 So the goals of my presentation today

00105

1 are twofold. The first half of the presentation
2 is to suggest to the panel that when voting on
3 these osteobiologic products that they segment
4 them out based on those products, because they are
5 all not the same and they don't all have the same
6 levels of evidence associated with them.
7 The second half will be Dr. Kuklo's
8 presentation which will be looking at the evidence
9 that supports BMP and its use in nonunions.
10 If we look at the tech assessment,
11 there is a good definition of these phrases or for
12 these terms. Osteogenesis is the active action of
13 cells making bone at that nonunion site.
14 Osteoinduction would be the induction of bone,
15 that is growth factors or protein stimulating stem
16 cells, attracting to the site, and then
17 differentiating them into bone-forming cells so
18 those cells can then make bone. Osteoconduction
19 is a property that bone grafts have, which is
20 purely just a scaffolding, a passive response; it
21 sits there and holds it so that bone-forming cells
22 can move in there and replace that scaffold with
23 new fresh bone over a period of time.
24 And so when we look at bone grafting
25 materials, we can classify them into two different

00106

1 categories. They are either purely

2 osteoconductive, that is, they have no activity
3 whatsoever, you put them into small bony voids and
4 hope that the body can bridge that void. Then
5 there are the inductive materials which we have
6 talked about quite a bit today, which are the
7 demineralized bone agencies which have mild
8 induction because they have small minuscule
9 amounts of BMP in them that come from the
10 allograft sources. And then there is the
11 recombinant bone morphogenetic protein products
12 out there, the OP-1 and the BMP products that are
13 out there.

14 So one thing that I would propose is
15 that if you're going to vote on osteobiologics,
16 you not vote on them as a whole, since in voting
17 on them as a whole you would have to vote on
18 conductive materials, inductive materials, and
19 then purely other materials that don't even have
20 approvals and aren't even on the market yet, and
21 if you voted on that, how could you vote on the
22 level of scientific evidence when some of them
23 have no evidence whatsoever, and others do have a
24 small amount of evidence supporting them?
25 So I would propose osteoconductive

00107

1 materials, which your tech assessment has shown no
2 evidence whatsoever using those alone by
3 themselves to treat nonunions. Osteoprogenitor
4 cell products, those are the bone marrow products
5 or those patient derived therapy products that we
6 hear a lot of press about, but they're not
7 necessarily approved yet or on the market, or
8 regulated by the FDA, and there is very little
9 evidence that supports them. There are other
10 demineralized bone agencies, of which there are
11 probably 20 or 25 of those on the market right
12 now, and there is some evidence that is shown in
13 your tech assessment, but also in your tech
14 assessment there are a couple abstracts that show
15 that certain demineralized bone agencies in
16 certain areas and environments leads to a high
17 complication rate, a high infection or

18 osteomyelitis rate. And then there are the BMP
19 products that other speakers have talked about.
20 So in conclusion, I think that the
21 committee should not look at orthobiologics as a
22 whole, as one big voting block, because that would
23 be difficult to vote in any way. They should
24 separate them out into separate categories and I
25 have listed those categories here. And I would

00108

1 just emphasize that BMP has the most scientific
2 evidence supporting its use and its ability to
3 induce bones in the body.
4 So going on to the second half, BMP is
5 a treatment for nonunion fractures. We've already
6 had some of the history, but BMPs were discovered
7 in 1965 by Marshall Harris. He then discovered
8 that you could extract BMPs from allograft tissue,
9 and that when you extracted these BMPs, they were
10 active and they could induce the body to grow new
11 bone. And the first published report of using BMP
12 was in fact in the treatment of nonunions, it was
13 in 1988 using human extract of BMP from allograft
14 bone. And so Johnson and Harris at UCLA had a
15 number of patients that they published on. Two of
16 these were summarized in your tech assessment, but
17 if you look at the history as a whole, human
18 extracts of BMP placed in a nonunion consistently
19 were able to heal those nonunions over a period of
20 time in these case series.
21 BMP approvals we have talked about a
22 little bit. BMP-2 is under the trade name INFUSE
23 bone graft, it has two approvals. It has an
24 approval in 2002 for interbody fusion, that is
25 inducing bone in the spine to fuse the spinal

00109

1 elements together. It has another approval in
2 2004 for the same product, it has gone through
3 two PMA processes where they've done clinical
4 trials to gain approval from the FDA showing that
5 they're safe and effective. The second approval
6 is for open acute tibia fractures.

7 BMP-7, as a product it's called OP-1
8 implant, and it's also called OP-1. The first has
9 a Medicare device exemption for recalcitrant long
10 bone nonunions, and the second one has an
11 exemption for residual fusion, and as Dr. Jones
12 has stated, HDE products cannot be used off label
13 unless it's an emergency situation and you get
14 prior approval by your IRB, and that's very
15 different from PMA approval products like INFUSE
16 bone grafts, which the Supreme Court and the FDA
17 have affirmed that through the practice of
18 medicine, physicians may use fully approved
19 products like INFUSE in an off-label manner.
20 So just going over briefly some of
21 these studies, INFUSE bone graft has been the
22 subject of 14 prospective randomized clinical
23 trials, the great majority of them have been in
24 spine fusion, but it has involved more than 1,750
25 patients, more trials are continuing. Medtronic

00110

1 has a commitment to do prospective trials on BMP-2
2 to gain further indications and more abilities to
3 help patients by treating them with BMP-2
4 products. But this was the first time that they
5 gained the approval in the spine, 279 patients
6 randomized against autograft. They did the spine
7 trial, but this trial was able to prove that
8 INFUSE bone grafting, the use of the two products
9 was equivalent to autograft to induce bone in the
10 spine, induce the spine and have good successful
11 clinical outcomes.
12 We have also just filed a second PMA on
13 the spine, it was just filed last week, and that
14 is yet another trial, 480 patients, prospective
15 randomized trial against autograft, randomized
16 against autograft, and that will eventually allow
17 us to have a second indication in the spine,
18 again, where BMP-2 has been able to prove that
19 it's equivalent to autografting induced bone, and
20 in this case it's addressing the posterior spine
21 as opposed to the interbody spine.
22 Dr. Jones has talked to you about the

23 best study which was published, it was a
24 prospective randomized trial. I just want to
25 highlight that in that trial, one thing that they

00111

1 did find was a 44 percent reduction in the
2 incidence of infection when BMP-2 was used. This
3 is, as Dr. Dickson has said, something that we
4 don't fully understand yet, but it is a consistent
5 result that we see in many of our clinical trials,
6 and that is when BMP-2 is there, the risk of
7 infection or the incidence of infection goes down.
8 It seems to be some indirect cause, maybe through
9 antigenesis, maybe the ability of the body to heal
10 at an accelerated rate, but for some reason
11 bacteria is not able to get a foothold and infect
12 those sites when BMP-2 is used in a local area.
13 Dr. Jones did show this 30-patient
14 randomized trial that he and others were involved
15 with, and I just want to highlight that in two
16 weeks from now at the OTA, Dr. Kuklo will be
17 presenting on 52 patients, similar type patients.
18 They are tibia fractures, soldiers coming back
19 from Iraq with tibia fractures with large
20 traumatic bone loss, treated with BMP-2 and
21 allograft bone and he is getting very good
22 successful outcomes compared to the first Gulf
23 War, that is the Gulf War back in 1991. But
24 comparing the Walter Reed experience in the first
25 Gulf War to this Gulf War, the difference being

00112

1 that BMP-2 is being used in those open tibia
2 fractures with traumatic bone loss, he is seeing
3 significant improvements.
4 There are two unpublished case series
5 that we're aware of right now in long bone
6 nonunions. 19 patients by Dr. Race at Loyola,
7 which was a poster presented recently. Dr. Hicks
8 at Fort Lee has also, or will be presenting data
9 on 46 nonunions, and this data will be published
10 eventually. I predict in the next year or so,
11 there will be many case series on BMP-2 in

12 nonunions published in the literature out there,
13 and so many more studies are ongoing and will be
14 out there.

15 I just want to highlight finally, one
16 thing that should have been delivered to you that
17 was alluded to by Dr. Jones, but Dr. Scott Jones
18 from Emory University has created a white paper
19 looking at the evidence of the effectiveness of
20 BMP-2 inducing bone in older individuals. In that
21 he looked at not only animal data where they used
22 very old primates and used BMP-2 in there, and
23 have shown the ability to induce bone, and that
24 bone looks like good young healthy bone in those
25 old primates. But also in this case, this was a

00113

1 spinal fusion study, a randomized prospective
2 spinal fusion study where we looked at the
3 patients that were over 65, and in there there
4 were eight patients in the BMP-2 group, nine
5 patients in the autograft group, and at 24 months
6 both groups, 100 percent healing, but at six
7 months, faster healing with the BMP-2.
8 So just in conclusion, BMP-2 extract
9 has been used for more than 20 years. BMPs do
10 induce new bone formation, there is a compelling
11 body of evidence that BMP-2 can induce bone
12 formation in a clinical setting in numerous
13 prospective trials, and certainly the majority of
14 those are the spine, we also have some in oral
15 surgery, but we do have some from the fresh
16 fracture and in those traumatic fractures with
17 large amount of bone loss, and BMP-2 is being
18 widely studied with many future indications to
19 come.

20 DR. MCNEIL: Thank you very much, Dr.
21 Marotta, particularly for filling in at the last
22 minute for your colleague. We very much
23 appreciate that. So, Dr. Aaron, from Brown.
24 DR. AARON: Thank you very much, I am
25 Roy Aaron, and we have the wrong slides

00114

1 unfortunately. I am a professor of orthopedic
2 surgery at Brown Medical School. I am a
3 consultant for EDI, they paid for the trip, they
4 do fund research in my laboratory. I have no
5 stock, royalty or other relationships to speak of
6 with EPI.
7 It's not my purpose to summarize or
8 repeat any information that you have had but
9 rather to highlight certain areas which I think
10 are of interest, and my role really is to
11 emphasize some aspects of the science, so I will
12 touch very briefly on preclinical studies, very
13 briefly also on mechanism of action, and some
14 clinical studies and the relevance to Medicare
15 beneficiaries. And I may use the phrase EMF but I
16 really am referring to pulse fields, capacity
17 coupling and combined magnetic fields.
18 If we had the slides I would be able to
19 show you that in terms of preclinical studies,
20 there are quite a number of in vitro and in vivo
21 reports, both cell and organ culture, as well as
22 the animal studies which demonstrate that these
23 devices actually increase extracellular matrix,
24 particularly cartilage and bone, and you can see
25 here there are a variety of models that have been

00115

1 looked at, mostly different kinds of progenitor
2 cell models, and a variety of different outcomes
3 in terms of cell differentiation, proliferation,
4 depending on the cell cycle position of the
5 stimulating tissues.
6 Now in long bones, again, there have
7 been a variety of, and this is just samplings, of
8 course there is a much larger total there. Here
9 is one of the delayed union models on the right
10 using the stimulation techniques, and the
11 important thing here that I want to get across is
12 that in both bone and cartilage, not only do we
13 see accelerated extracellular matrix production,
14 but also increased stiffness and strength in both
15 bone and in our laboratory now, in cartilage, and
16 the take home message is in a sense that there is

17 a great deal of both in vitro and in vivo evidence
18 that these are biologically active devices and the
19 biological activity is to enhance bone formation.
20 Now in terms of mechanism, I think this
21 scenario is particularly interesting because years
22 ago, this was thought to be kind of a clack box
23 and nobody really understood the mechanism of
24 these devices. Over the past five to seven years,
25 there has been a great deal of work, and I'll

00116

1 quickly go through it, to indicate that indeed,
2 very well-known and very well-worked-out
3 mechanisms are now in place.
4 Now it's true that not much is known
5 about the physics of interaction of the cell
6 membrane, but that's not unique. It's the same
7 for all physical stimulation, heat, mechanical
8 strain, fluid flow, things that we understand are
9 biologically active. In my opinion, the best
10 worked-out mechanisms concerns the stimulation of
11 receptor activity, particularly parathyroid
12 hormones as recently demonstrated in some Italian
13 studies, and it's pretty clear that these receptor
14 populations are efficiently activated. Our lab
15 has shown that this leads to activation,
16 ultimately activation of the transcription path
17 which you see with OP-1, and eventually an
18 upregulation of genes for extracellular matrix,
19 notably collagen and (inaudible).
20 Now, there have been an enormous number
21 of studies looking at the role of growth factors
22 and the amplification and mediation mechanisms of
23 electrical stimulation. A lot of this was started
24 by the (inaudible) group working with biomagnetic
25 fields and they demonstrated increase in IGF-2,

00117

1 and probably the first demonstration of receptor
2 activation by fields in bone.
3 Barbara Williams' group did excellent
4 work looking at both the BMP and TGF Beta, and in
5 fact has looked at nonunion cells, human nonunion

6 cells, and has demonstrated that TGF Beta is
7 regulated by a sodium field. We have looked at
8 the same type of thing in the endochronal bone
9 model and have shown that these growth factors are
10 upregulated but the physiology is not
11 disorganized.

12 Now, for those who are here who are
13 pharmacologists, they will be interested to know
14 that there is clear dosimetry of these fields in
15 terms of amplitude, frequency and exposure
16 duration. So there are around the world at least
17 ten laboratories which have shown detailed
18 internal consistency that is reproducible and
19 relevant mechanisms of action.

20 And then the question concerns the
21 clinical aspect of things and the levels of
22 evidence that we have heard about early on. I
23 think that this technology notice really improved
24 on the FDA in the 1970s when longitudinal cohort
25 studies were the standard of evidence, and it was

00118

1 felt that longstanding recalcitrant nonunions
2 rarely healed and certainly could serve as
3 controls after a period of time, and I think most
4 people believe that that biological situation
5 remains today. So the technology was approved and
6 the post-market studies confirmed that somewhere
7 between 75 to 85 percent of these fractures that
8 were nonunion would heal with electrical
9 stimulation.

10 And so in the '80s and early '90s, a
11 state of echo poise did not exist and without that
12 it became very difficult to do randomized clinical
13 trials, very difficult to get an IRB to approve
14 patients, and you've heard others speakers allude
15 to this as well. So in essence, there are not a
16 lot of randomized controlled trials because the
17 documentation of efficacy predated these
18 standards.

19 Now having said that, let's look and
20 see what level one and two evidence actually
21 exists today. These are studies not of nonunions,

22 but of bone healing in osteotomy and in spine
23 treatment, and I will just concentrate on these.
24 These are Italian studies, they are all randomized
25 controlled studies and they do demonstrate that

00119

1 indeed, exposure to pulse magnetic fields
2 stimulate bone formation in a model of healing.
3 Now with regard to delays and nonunion,
4 I should first talk about a study done by Gotling.
5 It is not a true metaanalysis, but a compendium of
6 28 studies that looked at nonunited or healed
7 fractures treated with pulse fields, compared to
8 14 studies of similar fractures treated with bone
9 graft with or without internal fixation, and the
10 success rate was exactly the same, demonstrating
11 equivalence of the techniques.
12 There are many observational studies
13 and a variety of different models with a variety
14 of stimulation techniques, and you hear numbers
15 coming out of those observational studies, 86
16 percent, 80 percent, 87 percent healing rates.
17 I know of four randomized controlled
18 trials, two placebo controlled and two controlled
19 against grafts. The studies where the controls
20 were grafts demonstrated equivalence between pulse
21 field techniques and the graft, and in the two
22 placebo controlled trials, in the Sharrard trial
23 the overall numbers, 45 percent healed versus
24 placebo device, and in the other study, 60 percent
25 healed versus zero.

00120

1 So the question comes up then to me,
2 what is the generalizability of this data to the
3 Medicare beneficiaries? And it would seem to me
4 from looking at the data that when you look at
5 comparison studies, there really is no
6 significance when you break this out for age, and
7 that these techniques work equally well regardless
8 of age. Of course there is no morbidity in the
9 sense of surgical morbidity which can be as high
10 as five percent, and graft has a reoperation rate

11 of ten percent. And this is a group which, as you
12 know, is very intolerant of complications.
13 So in summary, I think we have a
14 technique with excellent preclinical data, well
15 understood mechanism of action, a reasonable
16 amount of level one and two evidence of clinical
17 efficacy, and I think particular relevance to the
18 Medicare population. In fact, because these
19 techniques have minimal morbidity, they can
20 restore function, and since the Medicare
21 population is not particularly tolerant of
22 surgical morbidity, I think these actually have a
23 special applicability to the Medicare population.
24 Thank you.
25 DR. MCNEIL: Thank you very much,

00121

1 Dr. Aaron. So, Dr. Whitman.
2 DR. WHITMAN: I'm Skip Whitman, I'm a
3 general orthopedist, been in practice for 18
4 years. I do provide consulting services for Smith
5 & Nephew in the area of government affairs. I
6 have no stock in any company that provides
7 orthopedic devices, and I don't have any
8 agreements with any of the treating companies.
9 As I sat here today and saw everybody
10 and listened to everyone talk, I wondered why am I
11 here. Well, I guess you might think of me as
12 representing the silent majority. I probably
13 represent 80 percent of the orthopedic surgeons
14 who see patients. I am not in a medical center or
15 tertiary care facility, I know my patients' first
16 and last names. I order x-rays, I do their exams,
17 I see them in the grocery store, they go to my
18 church, and I treat their kids, somewhat different
19 than everyone you've heard up here before. So my
20 talk, therefore, is going to be a little
21 different.
22 How does ultrasound, how does that
23 affect me and what I do in my practice? And I
24 don't just use ultrasound, I have used electrical
25 stimulation, I've used demineralized bone matrix,

00122

1 and obviously I use surgery. So I use whatever I
2 think will get the best result for my patient.
3 And my patients walk in or are transported into
4 the emergency room or off the street, they are not
5 referred by another orthopedic surgeon, at least
6 in eight out of ten surgeries.
7 I'm looking for something that's not
8 invasive. I have to sit down and discuss these
9 things with my patient. I want low risk, I want
10 it to be easy. If it's easy for me and easy for
11 my patient, I find that it's a lot more
12 successful. I want something that's going to give
13 me a faster healing response, less morbidity,
14 early return to work, and I always look for a
15 win-win situation.
16 I'm just going to skip through this
17 mechanism of action, I think you've heard enough
18 science today for that. It's a cute little slide,
19 but you can tell who I am.
20 Okay. Safe and effective technology
21 delivering a significant health benefit. I really
22 think that when it comes to ultrasound in my
23 practice and why have I gravitated towards it,
24 it's a noninvasive treatment for my patients, many
25 of which, the vast majority are Medicare patients,

00123

1 and I think that's true for most practitioners who
2 are general orthopedists in the country today. I
3 would prefer to do something that's nonsurgical
4 for my patients. My elderly patients don't handle
5 surgery as well as my young kids that I treat, so
6 I want to give them something that's going to be
7 easy for them to get to and yet have good results.
8 Get them back to their normal activities. I find
9 that really important. One of the first things
10 they ask me after surgery is, can I go to
11 Wal-Mart? I mean, can I go to Wal-Mart. They
12 want the simple things in life. They don't want
13 to spend time in the hospital, they don't want
14 these surgeries.
15 It's safe for me in my hands. It's

16 easy, it's safe, and it's easy for my patients.
17 And especially my Medicare patients, if I try to
18 get too complicated on my octogenarians, they have
19 a hard time understanding and keeping with the
20 treatment program and the protocol.
21 I use it a lot in my fractures that I
22 feel are at risk in my practice. We've seen the
23 data in the handouts that you have, advanced age,
24 smoking, diabetes, open fractures, medications,
25 steroids, fracture type, energy, all those things,

00124

1 osteoporosis, they all go into effect when we're
2 making a decision as a clinician, and my Medicare
3 population especially has a lot of these
4 comorbidities.
5 You've seen the science, it's well
6 documented, ultrasound affects the healing at all
7 levels of the fracture healing process, it
8 accelerates the normal process of healing. And
9 we've already talked about the recent CMS decision
10 after reviewing all the data to expand coverage.
11 Now I have a couple of case studies,
12 sorry these aren't scientific studies, it's
13 anecdotal information from a small town practicing
14 orthopedic surgeon. A 76-year-old patient that
15 had first surgery actually by one of my partners,
16 came to me and already had been a year after the
17 first surgery, a lot of delay in trying to get
18 this to heal. He did a second surgery. When the
19 patient came to me after the second surgery, I put
20 on ultrasound, I put an EXOGEN on this patient. I
21 realized there were still mechanical issues with
22 this, so I took the patient to the operating room
23 and did an osteotomy and put a locking plate on,
24 continued the ultrasound, and three months later
25 the patient is ambulating, full weight-bearing,

00125

1 with very strong healing response. A very happy
2 patient that happens to go to my church.
3 Now, based on that experience, I had an
4 85-year-old patient who came to see me with a very

5 similar proximal tibia fracture, and I put the
6 EXOGEN on her right away, day one. She got the
7 EXOGEN day one. Did I expect to get paid for it
8 or to bill for it, no, I didn't, but this is what
9 my patient needed. And I put the EXOGEN on her,
10 and here she is with x-rays at three months, she's
11 ambulatory, she's weight-bearing, she's getting
12 back to her normal activities with an excellent
13 healing response at her fracture site. I think
14 that that patient, versus the patient that went
15 through three years of a lot of trauma to try to
16 get healed.

17 Distal pilon fracture, I do think that
18 these are at risk oftentimes, certainly this is a
19 Medicare-aged patient, but when I did the surgery
20 on this patient I placed the EXOGEN on it
21 immediately postoperatively, because I thought he
22 was at risk. Eleven months post-op, hardware out,
23 patient is walking pain-free.

24 Scaphoid fracture, as everybody in the
25 business knows, they are difficult fractures to

00126

1 heal. This patient had three months of symptoms
2 and no treatment prior to walking into my office.
3 I'm not sure when this patient fractured his
4 scaphoid. First visit, nonsurgical, put the
5 patient in a cast, placed on EXOGEN. Four months
6 later, no scars, fracture completely healed. And
7 it's proximal on this, so it's even more
8 difficult.

9 66-year-old patient here with an open
10 distal radial and ulnar fracture. Initial
11 debridement, placed an external fixator, I felt
12 that internal fixation at the site was too high
13 risk for infection, so in order to assist that, I
14 put EXOGEN on the patient. Three months, fixator
15 off, invisible therapy, already getting back to
16 her normal activities with a good solid clinical
17 union.

18 In short, I like the EXOGEN because
19 it's safe, it's easy. I can sit there with my
20 patients and say would you like me to give you a

21 device which you wear ten hours a day or would you
22 like me to give you a device that you can wear for
23 20 minutes, or would you like me to do an
24 operation where I can do a surgery and put in some
25 demineralized bone matrix or bone graft. Most of

00127

1 my patients choose the 20-minute device. I choose
2 the 20-minute device in my practice in this small
3 area and small world of what I do. It's been
4 effective for me, it's worked for this surgeon in
5 private practice, it reduced my rate of nonunions
6 and the number of patients I have to send to a
7 number of my esteemed colleagues here who do it a
8 lot better than I do. It decreases my need for
9 surgical interventions, and it plays a critical
10 role in my practice and I think it makes it easier
11 for me to see my patients in church and in the
12 grocery store, because they're happy for what I
13 do.

14 DR. MCNEIL: Thank you very much, Dr.
15 Whitman, that was very nice. What I think I would
16 like to do now is take the chair's prerogative and
17 instead of moving right on to the public
18 presenters, take a few minutes while these
19 previous presentations are fresh in our mind and
20 ask the panel if they have any questions for them.
21 We will obviously have time after lunch, but I
22 think we will start now. Yes?

23 DR. KIRKPATRICK: I have a couple of
24 questions, one for Dr. Laurencin. You mentioned a
25 number of times that the data presented to the

00128

1 FDA, and unfortunately I didn't see that in our
2 packet, we don't have the details of this study,
3 so I'm wondering why hasn't it been published in
4 the literature and why wasn't it submitted to the
5 panel for their deliberations.
6 The other issue on Dr. Laurencin's
7 presentation is, you quoted the standard for the
8 guidelines that the FDA put out, and I would like
9 to comment that that's more than likely a minimum

10 standard and that when you're dealing with
11 electrical devices, the FDA certainly would have
12 very much welcomed a randomized placebo trial.

13 DR. LAURENCIN: Well, thank you. A
14 couple points. The first question, if you look in
15 your binders, you will see there is a summary --

16 DR. MCNEIL: Just if I can interrupt,
17 Dr. Laurencin, I gather from Kim that the
18 committee did not get everything that you
19 submitted to the staff and instead got the
20 presentations only, so referring to the binder is
21 a little moot.

22 DR. LAURENCIN: There is a summary of
23 that information that was submitted to the FDA, a
24 large registry study and was actually submitted as
25 a part of some of the materials that were

00129

1 submitted to the committee, number one. A portion
2 of that registry information was actually
3 published as a study that was peer reviewed.

4 The second point that you asked, yes,
5 the point of what I'm saying is that, one, it is a
6 guidance document that says if you want to perform
7 a clinical trial in this way, you know, this is a
8 guidance document that we have for you, and so
9 this concept of doing randomized controlled trial
10 versus a nonrandomized controlled trial, doing a
11 case study trial, my belief is that for nonunion,
12 recalcitrant nonunions out there for 20, 21, 22
13 months, I believe that patient self-control is
14 valid.

15 While I have the podium in terms of
16 answering that question, as an answer to that
17 question, I think Dr. Burke's question was very
18 important in terms of what do these rates mean and
19 what do the studies mean in terms of what is the
20 rate of nonunion that occurs, what is the
21 potential for these fractures to heal on their
22 own. In one study by Sharrard, they found three
23 out of 25 healed. Now, the thing to remember is
24 that these were delayed unions, not established
25 nonunions, they were delayed unions. Some were

00130

1 only three to four months old in terms of their
2 timing. So what that study said was for delayed
3 unions, a certain number of delayed unions or
4 slower healing unions will go on to union.
5 And the other study by Simonis where
6 they looked at patients, they had a 60 percent
7 rate, but those patients all received a surgical
8 intervention and the electrical stimulation
9 intervention. They received a surgical
10 intervention and the electrical, and their control
11 was a surgical intervention at that point. That
12 study said that with surgical intervention at that
13 point, 50 percent would heal.
14 In the case of the ultrasound study,
15 it's very interesting. Those studies that were
16 presented, the patients who did not receive an
17 additional surgical intervention, if they had a
18 rod placed and they were 24 months out from that
19 rod being placed, they did not have an operation
20 performed at that point and they just had the
21 ultrasound device placed. And so the numbers in
22 terms of using it, these patients actually were
23 going on their same, had their same clinical
24 course, and the only intervention that was placed
25 was the ultrasound device placement. Thank you.

00131

1 DR. MCNEIL: Other questions? Yes.
2 DR. BERGTHOLD: Did the ultrasound
3 treatment, the 20-minute-a-day treatment, right,
4 and it's set in the doctor's office in terms of
5 the setting of the controls, I'm just interested
6 in the outcomes in terms of an elderly patient,
7 how difficult is it for them when they get home?
8 DR. LAURENCIN: That's a great
9 question. And first of all, all these modalities
10 are great, and so what I don't want to do is get
11 into a lot of comparisons. But the 20-minute-a-
12 day administration really ensures that there is
13 high compliance. Once it's set and once it's on,
14 you just place it on for 20 minutes a day and it's

15 off the rest of the time. So there's high
16 compliance in terms of the Medicare population.
17 There is also very high compliance, and
18 one of the things that Dr. Aaron said is very true
19 in terms of nonunions, there is a lot of
20 noncompliance that makes these studies very
21 difficult. And so if you're administering an
22 apparatus for 10 hours, 15 hours, you know, 10 or
23 12 hours a day, when you have a 20-minute-a-day
24 administration, they're all great modalities, but
25 the 20-minute-a-day administration has some

00132

1 particular advantages in terms of compliance, and
2 I think Dr. Whitman also alluded to that earlier.
3 DR. MCNEIL: Yes.
4 DR. AKLOG: You just mentioned
5 something that I think was brought up before as
6 well, and that is an initial concern is that we
7 were talking about multiple modalities, some of
8 them are very different, all of which seem to
9 treat similar disease processes. It hasn't really
10 been made clear to us and certainly to me what
11 indications are for individual ones. So in a
12 sense, you know, generally speaking when you have
13 multiple different treatments for the same thing
14 and one has not risen above the other, it doesn't
15 really give the strength of the evidence for any
16 individual ones. Do you have an algorithm as to
17 when you would use ultrasound versus some of the
18 other ones?

19 DR. LAURENCIN: Well, I think there are
20 three reasons why there are multiple different
21 modalities for treatment of a disease process.
22 Number one, they all work; number two, none of
23 them work; or number three, the fact is that some
24 of them are better than others and so it's not
25 really coming out. I think one and three are the

00133

1 case. I think that a number of these modalities
2 do work and do have clinical efficacy. I think
3 that some of the modalities such as the BMPs

4 obviously, remember, we're talking about approvals
5 for BMPs that just occurred over the last few
6 years, and we have had (inaudible) for the BMP-7.
7 In terms of ultrasound, the ultrasound
8 is a growing area in terms of this, we've got
9 great scientific data, great clinical papers, a
10 couple papers in 2001 and 2003 that have come out,
11 so that's a growing area in terms of use. Where
12 it's all going to shake out, I think we're going
13 to see over the next few years, but I think they
14 all have good clinical efficacy in these areas. I
15 obviously have personal biases and so on, but I
16 can see how individuals may have differences
17 there. What would be very interesting to see is
18 some comparison studies from a scientific point of
19 view utilizing all these modalities in terms of
20 nonunions and see what shakes out.
21 DR. MCNEIL: Let's see, Mark or Kim?
22 Kim, go ahead.
23 DR. BURCHIEL: I wanted to ask possibly
24 Dr. Dickson, and Dr. Marotta, I think you also
25 commented about the potential morbidity of the

00134

1 osteobiologics. We haven't heard anything about
2 hypertrophic responses of these agents, and I know
3 that's been a bit of concern in my area, and I
4 wonder if you would want to comment on that.
5 DR. DICKSON: In terms of the studies,
6 safety has been fairly good with them. There was,
7 in the BMP-2, there was a case where it formed too
8 much bone around the spinal cord and that's
9 certainly a concern if that BMP leaks out.
10 Comparing with all the BMPs, the other thing that
11 is of concern is whether it's a cancer-producing
12 thing in terms of taking a cancer and making it
13 worse. In all the BMPs that have been used, there
14 hasn't been anything shown to say there is an
15 increased risk of cancer. There have been
16 patients with cancer and it seems to make them
17 more differentiated, so it actually makes them a
18 little bit better in terms of looking at all the
19 patients that received BMP.

20 The other thing that's a concern to me
21 and I don't know the answer, it probably is
22 nothing, but there is about a 38 percent result
23 with BMP of forming antibodies to the BMP and
24 where that's going to go, it seems to go away, but
25 I don't know what that means exactly.

00135

1 DR. MAROTTA: In terms of the fresh
2 fracture studies done with BMP-2, there was very
3 little incidence of hypertrophic ossification
4 between the study group and the control group, and
5 in fact there was no difference between the two,
6 using it or not using it. There was that one
7 spine study where there was some bone seen behind
8 the cage, but that spine study was actually using
9 an inferior technology spine treatment, and
10 they're now using what's called stand-alone cages,
11 where the cables are coming in from the back all
12 by themselves without any pedicle screws
13 whatsoever, and in those situations it's very
14 difficult to get the cage countersunk deep enough.
15 So it was seen on CT scan that there was bone in
16 the back of it. We also saw that in the autograft
17 group too as well, the growth of bone in the back.
18 All the patients did well in that study and had
19 good successful outcomes, but it was a concern of
20 the surgeon, and they stopped enrollment in the
21 study and followed the patients out to two years
22 and published the results just last September.
23 And they hypothesized as to why they
24 saw that bone, and the major hypothesis was
25 stand-alone cages, the fact that they weren't

00136

1 countersunk and the fact that some of those cages
2 might have actually slipped forward, even though
3 they had the cage in place, which is why the
4 implant is still in use, we now have these
5 stand-alone cages with pedicle screws to keep it
6 from slipping forward.
7 In terms of cancer, there is a warning
8 on both BMP-7 and the BMP-2 that we haven't

9 investigated either of those in cancer patients
10 and so we shouldn't be using them on cancer
11 patients. There have been numerous cell culture
12 studies where we've exposed cancer cell lines to
13 the BMP products and we have not seen any
14 proliferation of those cancer cell lines, but we
15 have not done any clinical studies to look at, you
16 know, to use it in cancer patients, is there a
17 higher incidence of cancer. It has been used very
18 frequently in the spine with very, very few
19 adverse events coming in, reporting in from the
20 field.

21 In terms of the antibodies, the
22 antibodies do form, they seem to form a little bit
23 higher in the BMP-7 than in BMP-2, and that may
24 just be due to the clearance rate of the body, but
25 they are transient, they go away by six months.

00137

1 And again, we don't know how that interacts with
2 humans, so there is this warning not to be used in
3 pregnant women. But we've had hundreds and
4 hundreds of litters of rabbits and rats where we
5 have induced antibodies in those rabbits and rats
6 and haven't seen any issues with those litters,
7 but again, no clinical studies other than in the
8 spine studies where the women actually got
9 pregnant after their spine fusions using BMP-2 and
10 there were issues that were pregnancy-related.

11 DR. MCNEIL: Thank you, Dr. Marotta.
12 Kim.

13 MS. KUEBLER: Has there been any, have
14 you looked at any phenotypic reactions or
15 different ethnic backgrounds?

16 DR. MAROTTA: All of our studies
17 include general populations, but we haven't seen
18 any in terms of race or sex. We also haven't seen
19 any issues in terms of smoking or steroids,
20 nonsteroidal antiinflammatory drugs. It seems
21 that although all of those drugs and the smoking
22 adversely affect bone formation in autograft
23 patients or control patients, with the BMP-2
24 patients, they were able to overcome some of those

25 effects, and so in terms of actual scientific

00138

1 evidence, I don't think we've analyzed that.
2 DR. KIRKPATRICK: There were two things
3 that came in in Dr. Marotta's presentation that I
4 just want to make sure I'm understanding
5 correctly. One was that IRB oversight was needed
6 for off-label use on HDEs; it's my understanding
7 that all use of HDE requires IRB oversight.

8 DR. MAROTTA: Right.

9 DR. KIRKPATRICK: The second one is
10 that you indicated that the FDA trial looking at
11 the INFUSE in the spine anterior was equivalent.
12 My understanding was that was a non-inferiority
13 trial and from what my experts tell me, and the
14 panel I hope can confirm or correct me, that a
15 non-inferiority trial is very different from an
16 equivalency trial, which is very different from a
17 superiority trial. And so, I just want to make
18 sure that the panel understood the nuances of
19 that. That's much more important to you than me,
20 but that became very critical in FDA panel
21 deliberations on that device; is that not correct?

22 DR. MAROTTA: Certainly. In terms of
23 the HDE, you have to have IRB approval to bring
24 the HDE product into your hospital to use it
25 within the exemption. There is a phrase or a

00139

1 caveat in the law that says under emergency
2 situations you can use an HDE product off label
3 but you have to go back to the IRB and follow the
4 IRB emergency procedures. IRBs have emergency
5 provisions for using essentially unapproved
6 devices and in an off-label situation, an HDE
7 product off label is actually considered
8 unapproved, so you have to use those IRB emergency
9 procedures. If you don't use those, you can't use
10 the HDE off label.

11 In terms of the spine study, the spine
12 study was set up, I believe it was set up as an
13 equivalency study, but at the end when they came

14 up with the numbers of patients and were running
15 statistics, there was no difference between the
16 two groups, and if we had only taken 20 more
17 patients in that study of 279 patients, if we had
18 299 patients, we would actually have been able to
19 show that at least on bridged radiographic fusion
20 in the BMP group was 95 percent fusion and the
21 autograft was 88 percent fusion at two years, and
22 we would have actually had a P value which would
23 have shown superiority.
24 DR. MCNEIL: But you don't have those
25 data, right?

00140

1 DR. MAROTTA: We do have that data, and
2 in fact what we did was we did a metaanalysis
3 where we combined that study, that LTK study with
4 data from a laparoscopic study where we, instead
5 of having an open procedure where you open up the
6 entire cavity and put the LTK in. They weren't
7 the same study, but it was a metaanalysis of
8 combined studies, which often is done when you
9 can't go back and do another 500-patient
10 randomized controlled trial.

11 DR. MCNEIL: Just to be absolutely
12 clear, I just want to be sure everybody is on the
13 same page here, the randomized trial had 270
14 patients, is that what you said?

15 DR. MAROTTA: 279 patients.

16 DR. MCNEIL: And had you had another 20
17 patients, blah, blah, blah, but for the 279 --

18 DR. MAROTTA: For the 279 all we could
19 show was equivalence, and that's all that we can
20 state in our FDA indications is equivalence, that
21 BMT-2 is equivalent to autograft.

22 DR. MCNEIL: Leslie.

23 MS. FRIED: This is a general question
24 but I have to ask it. If we're talking about the
25 Medicare population, many of who have

00141

1 comorbidities and certainly the under 65 have a
2 disabling condition. So my question is, for many

3 of these studies or other studies I have been
4 involved in looking at, elderly people with
5 comorbid conditions are often excluded because
6 they have high blood pressure, they have a heart
7 problem, whatever. So my question is, I looked
8 through all this exclusionary criteria as it
9 relates to whether there was nonunion or union,
10 et cetera, and so my question is, were people
11 excluded from participating in the studies? And
12 you're there so you get to answer, but other
13 people can pop up. Were people excluded because
14 they had high blood pressure or because they had
15 diabetes, or because -- clearly they were allowed
16 to smoke, but other disabling conditions which
17 would affect the use of the studies for the
18 purpose we're here today?

19 DR. MAROTTA: In the BMP-2 trials, the
20 ones that I'm aware of, and I'm not aware of
21 another company studying it, but in our BMP-2
22 trials we did not exclude them for smoking or if
23 they had steroid use. We also didn't exclude them
24 if they had spinal litigation, which actually, you
25 know, people who are suing someone for back

00142

1 problems tend to heal at a much slower rate, I'm
2 not quite sure why.

3 (Laughter.)

4 DR. MAROTTA: But no, we didn't exclude
5 diabetes, we didn't exclude obese patients, we
6 didn't exclude Medicare age patients, we
7 essentially took all comers so long as they
8 weren't pregnant, they didn't have cancer and they
9 didn't have an infection, so we essentially took
10 all comers in those studies.

11 DR. MCNEIL: Any other comments about
12 Leslie's questions and the design of other
13 studies? What I would like to do is have the
14 responses to that question now and then move on to
15 the public comments.

16 DR. DICKSON: In terms of the OP-1
17 study, the only exclusion was infection, so we
18 took all medical conditions.

19 DR. WHITMAN: For the ultrasound there
20 were no comorbidities like that.
21 DR. MCNEIL: One question I'm trying to
22 remember, somebody presented -- oh, Mark, did you
23 want to follow up?
24 DR. FENDRICK: Just one last question.
25 This morning is not typical in that no one is

00143

1 coming up to speak as a proponent of the tried and
2 true intervention which you all trained on, which
3 is the autogenous bone grafting, and I would like
4 to ask maybe one person from the TA perspective.
5 When you get a lay of the land about where things
6 are now in terms of how to fuse, and if these
7 innovative interventions are relative to what
8 might be compared to autogenous bone grafting, and
9 I would like to start with our real doctor, at
10 least he's self-described, but Dr. Whitman first.
11 But also for anyone else, is there a role?
12 I mean, the way we hear these
13 presentations is we shouldn't be doing this
14 anymore, and I presume there are probably a few
15 orthopedic surgeons out there who are a little
16 more conservative who would probably wait and see
17 for more data on some of these interventions, and
18 I imagine all of you have done this intervention
19 fairly recently, and the impression I get is we're
20 not going to be seeing any of these bone graft
21 procedures done if you guys get your way.
22 DR. WHITMAN: First, I need to clarify.
23 I don't think I described myself as a real doctor,
24 just a simple doctor.
25 (Laughter.)

00144

1 DR. WHITMAN: The point I was trying to
2 make is I see a different patient, I don't see a
3 patient who has been to five or six surgeries. I
4 don't see a patient who has been walking around
5 with a nonunion or not walking around with a
6 nonunion for two years. So I have an entirely
7 different patient population.

8 To answer your question, do I do a lot
9 of iliac crest bone grafts, no, I don't. Because
10 I can do a joint replacement, I can do any other
11 surgery, and the procedure that my patients
12 complain about most without question is the iliac
13 crest graft.

14 DR. DICKSON: I do tons of iliac crest
15 bone grafts, so I still think it's the tried and
16 true method, and so I do tons of them, and while
17 we're in the process of looking -- I don't know if
18 I'm a simple or a complicated doctor, but I'm
19 unemployed.

20 (Laughter.)

21 DR. DICKSON: But the idea is, I still
22 think it's the treatment of the nonunions, and I
23 make a big distinction between delayed union and
24 nonunion. To me a nonunion will not heal with any
25 of the modalities that are without surgery, and so

00145

1 that is my distinction, so I don't treat them with
2 ultrasound, electrical stim. The patients that I
3 treat with some electrical stim are those patients
4 that have the medical comorbidities, may be going
5 towards union. If they've had a definite
6 four-month period where I see no radiographic
7 progression and I see no clinical progression, to
8 me, that's a nonunion, I have to see four months
9 of no progression and then I don't think anything
10 special is going to happen later on, so those
11 people need surgery.

12 DR. MCNEIL: Okay. Three very, very
13 quick comments.

14 DR. DAVIS: I will just say that no one
15 has ever described me as simple, so that's very
16 simple. But five years ago, as a CBT code
17 analysis, I did on average between 350 to 400
18 cases a year and took about 125 autologous iliac
19 crest grafts. In the last year and a half, with
20 the combination of the variety of these products,
21 I did seven and eight in the last two years. And
22 it's because many of the patients that I see,
23 spine and general orthopedics, have had multiple

24 procedures, including the use of a number of these
25 alternative products that I basically will cycle

00146

1 them through. And I will tell the patients that
2 the gold standard is autologous iliac crest graft,
3 but they still complain, so the number has gone
4 down dramatically.

5 DR. MCNEIL: Final comment, please.

6 SPEAKER: In established nonunions like
7 we talked about, if they go into surgery, are not
8 confident to not do an autograft, and they always
9 get autograft plus some other stuff.

10 DR. MCNEIL: So there is a question
11 about variations in practice, and I think we just
12 got the answer.

13 Let's see, we have three members of the
14 public, I believe, who would like to talk, and
15 they each have two minutes. So, Dr. Janet Conway,
16 please, and if Dr. Ann Steforak could follow, and
17 Richard Pierce after that, if they could all be
18 ready, that would be great.

19 DR. CONWAY: Good morning. Thank you
20 for allowing me to speak to you today. My name is
21 Dr. Janet Conway, I'm at the Ruben Institute at
22 Sinai Hospital in Baltimore, and our center is a
23 large referral center for nonunions. I see a
24 large population of Medicare patients, secondary
25 to the fact that I also do a lot of total knee

00147

1 replacements, and they go on to wind up requiring
2 knee fusion. A lot of these patients are utterly
3 debilitated and in order to allow the knee fusions
4 to heal, I use a number of these other modalities.

5 I think my algorithm for treating these
6 patients is very simple as far as, do these

7 patients need extra stimulation for the biology?

8 As far as knee fusion, these patients are very,
9 the bones have been traumatized, they're elderly,
10 and I think they need all the help they can get
11 when I am trying to stimulate the biology of that,
12 and so that's the role where I use the

13 osteobiologics.
14 Also, in cases where I see previous
15 infections, I'm not going to use an internal bone
16 stimulator, I'm going to use an external bone
17 stimulator. So that's another thing I consider.
18 You know, if you are going to devise an
19 algorithm, I have an algorithm, maybe I should
20 consider putting it out in the literature, but I
21 think that's how I use all these osteobiologics,
22 stimulation and ultrasound, and iliac crest bone
23 graft, but a lot of my patients are unable to
24 tolerate the surgical time, so all these
25 modalities are very important, and also, my

00148

1 patient's bone healing is very important.
2 I did bring extra copies of a letter
3 from one of my patients who was very grateful that
4 she was allowed to use the ultrasound bone
5 stimulator because she went on to heal. She went
6 on to heal her nonunion. So again, I think there
7 is a role for all these things and I do use them
8 in my best judgment in the cases that come up,
9 and, you know, I appreciate you taking the time to
10 consider these things.

11 DR. MCNEIL: Thank you very much,
12 Dr. Conway. And by the way, please indicate
13 whether you have any conflicts.

14 DR. CONWAY: None.

15 DR. STEFORAK: Good afternoon. As a
16 little bit of a change of pace, just supplemental
17 information to ECRI's technology assessment, this
18 is something new that wasn't presented earlier,
19 and you're not voting on this but for future
20 reference. My name is Ann Steforak and I'm
21 (inaudible). We do have some FDA approvals for
22 insertional (inaudible) but we're also doing IDEs
23 that you're probably not aware of.
24 On delayed nonunions, we're trying
25 shock wave treatments. The results thus far, 50

00149

1 subjects were approved, 29 subjects were treated,

2 and at three months, 14 of the 27 patients have
3 found to be healed. At six-month follow-up, 15
4 out of the 20 subjects that have been followed
5 have been found healed. And also at 12 months, 14
6 of the 15 subjects have been found healed. So no
7 adverse effects based on investigator assessment,
8 on patient assessment and also on radiographic
9 evidence. Again, no adverse complications, and
10 the great thing about this agreement is it's a
11 single treatment, not a daily treatment, pretty
12 much single, you may do a second. Also too, as I
13 said, minimal complications and it was found to be
14 effective, so more to follow and you will hear
15 more about this in the future. I appreciate the
16 time.

17 DR. MCNEIL: And that was not a
18 randomized study?

19 DR. STEFORAK: No, it's an IDE safety
20 and effect study that's FDA-approved.

21 DR. MCNEIL: Mr. Pierce, is that right?

22 MR. PIERCE: The comments I was going
23 to make I think have already been covered by the
24 panelists.

25 DR. MCNEIL: Thank you all very, very

00150

1 much. I think this has been a great morning.
2 We're going to cut lunch a little bit short
3 because I think we're going to have quite a bit to
4 discuss and a number of questions potentially for
5 the presenters. So what I would like to do is be
6 back here at 12:30. And Kim has some of the
7 material that you were asking about that Dr.
8 Laurencin has submitted, so if you would like to
9 take a peek at it, it's here. Thank you all.
10 12:30.

11 (Luncheon recess.)

12 DR. MCNEIL: Welcome back. Just to
13 make sure everybody is clear on the schedule, we
14 now have a period of time with questions to the
15 presenters, then the panel will have some open
16 deliberations, and at some point during that
17 period we will take a very short break, because it

18 could be a long afternoon.
19 I think we started having a number of
20 very good questions to the presenters, and what I
21 would like to do now is continue that and ask the
22 panel whether they have any additional questions
23 that they would like to ask. Keep in mind in
24 doing this that we have some very specific
25 questions that we have to answer at the end of the

00151

1 day, so it would be useful to make sure that we
2 ask anything that would help us answer these
3 questions. I will put two on the board right now,
4 and we can decide whether they're good questions
5 or bad questions.
6 But the two questions are, is a bone a
7 bone, and the second question, is an
8 orthobiological device an orthobiological device?
9 So just because our -- is a bone a bone, is a deal
10 a deal, is a bone a bone, and is an
11 orthobiological device an orthobiological device?
12 Right now the questions are framed in those
13 generic terms and I just want to make sure that we
14 have information to answer them in that generic
15 way, or do we need to get at it a little bit more
16 specifically. So now, the floor is open to the
17 panel and to the presenters. Just in the interest
18 of time, if you are going to answer a question, as
19 a matter of fact, why don't all the presenters
20 come to the front row now, so we don't have to
21 trip over everyone. Questions?
22 DR. AKLOG: I guess this is sort of a
23 generic question maybe focused on the doctors who
24 were talking about the orthobiologics. I notice
25 in most of the material that we received that

00152

1 healing is really described as a binary, either
2 you have healed or you haven't healed. So I'm
3 just going to throw out the question and wonder
4 whether, especially in perhaps elderly patients,
5 whether there's a quality to healing. Are late
6 fractures an issue in some of these, is there any

7 evidence that any of these devices or the
8 biologics give you a stronger, the strength of the
9 healing is greater? I notice there was one slide
10 where that was directed at an animal in an animal
11 study where the tensile strength seemed to be
12 greater in one group or the other, but if you
13 could address that.

14 DR. DICKSON: The very simple answer is
15 no. Bone is a great device in terms of how it
16 heals without scar tissue. There are occasional
17 late fractures but for the most part when the bone
18 heals, it's just as good as before the fracture.

19 DR. MCNEIL: Thank you.

20 DR. BURCHIEL: This is more a question
21 to the panel and also possibly Dr. Aaron, and
22 perhaps a third question to add to Dr. McNeil's
23 questions. Is electrical external stimulation all
24 the same? I think Dr. Aaron mentioned something
25 about that, but I think we're going to be forced

00153

1 to differentiate between these technologies.

2 DR. MCNEIL: Okay.

3 DR. AARON: Do you want me to respond?

4 DR. BURCHIEL: Sure.

5 DR. AARON: Actually, I would actually
6 even take a broader view and look at physical
7 stimulation in general. We had an academy
8 symposium about a year and a half ago where we
9 looked at physical stimulation from a variety of
10 points of view, vibrational and electrical, and
11 obviously each one is going to be different in
12 terms of the cell reception of the stimulation.

13 On the other hand, many physical
14 forces, heat, for example, and vibration, are
15 known to accelerate a variety of biological
16 events, and could all stimulate healing by similar
17 but probably ultimately, on a molecular level,
18 different mechanisms. But I think from the
19 clinical perspective, I tend to lump them
20 together, because they produce similar clinical
21 effects, although there is asymmetry too.

22 DR. BURCHIEL: And I think just the way

23 the question is being framed, ultrasound is held
24 out separately, but I think at least pulse, EMS
25 and capacitance coupled external devices could

00154

1 represent a subset of the electrical stimulation.
2 DR. MCNEIL: Bob and then Leslie.
3 DR. MCDONOUGH: That is an interesting
4 question because I'm also thinking that electrical
5 and ultrasound are different, but it's difficult
6 for me to sort of distinguish them in terms of
7 their potential uses. And one of the questions
8 that I have for any of the panelists or any of the
9 electrical stimulation people, have there been any
10 formal compliance studies that actually gets at
11 the ultimate effectiveness of the device as
12 opposed to efficacy in a clinical setting and
13 independent of compliance.
14 DR. MCNEIL: So you would like to ask
15 that about both ultrasound and the electrical
16 stimuli, is that correct?
17 DR. MCDONOUGH: Yes.
18 DR. AARON: I'm not sure I know what
19 you mean by formal compliance, but I know in a
20 variety of clinical trials and in one large study
21 that has actually been published, we did look at
22 the time of utilization of electrical devices, and
23 there is some dose effect as a function of the
24 time during the day that the device is used, and
25 also the duration as measured in days or weeks.

00155

1 DR. MCNEIL: Were you asking, could I
2 just clarify, because I thought you might be
3 asking what percent of the patients actually did
4 the 20 minutes a day.
5 DR. MCDONOUGH: That's for example,
6 right.
7 DR. MCNEIL: Did you get an answer to
8 that?
9 DR. MCDONOUGH: For electrical
10 stimulation, how many people actually would use it
11 over time as opposed to using it as a door stop.

12 DR. AARON: I think in general, the
13 longer the time of utilization during the day and
14 the longer the duration of the days per week the
15 person has to adhere to the treatment, the lower
16 the compliance, and I think we saw that in one
17 particular study. But I personally don't have
18 numbers I can give you to say what the percentage
19 was who complied with ideal usage.

20 DR. MCNEIL: Can I make a comment here?
21 In some sense I understand the question, but that
22 number is really wrapped up in the results, isn't
23 it?

24 DR. KIRKPATRICK: I wouldn't say it's
25 wrapped up in the results. The problem is, the

00156

1 results are going to give a percentage to union
2 rate. If you've got 100 percent of your patients
3 using it 100 percent of the recommended time, then
4 that's a reliable percent union rate. If you have
5 25 percent of the people using it appropriately
6 and 75 percent using it nonappropriately, it may
7 actually be more effective than the data reveals.
8 And so, I think it was a perfectly relevant
9 question and I'm sorry that he doesn't have the
10 answer for us.

11 DR. MCNEIL: So it's particularly
12 relevant to the extent that this particular
13 population doesn't mirror the population at large
14 that would be using this device and these devices
15 outside the study?

16 DR. MCDONOUGH: Exactly. When people
17 are in a clinical trial, they seem to do a lot
18 more in terms of compliance than in actual
19 community practice.

20 DR. AARON: If anything, it would bias
21 the results against the technology, the device in
22 a suboptimal way, so I agree with your comments
23 about that.

24 DR. LAURENCIN: I may have mentioned
25 this before, but the fact that ultrasound devices

00157

1 require only 20 minutes a day is a great positive,
2 and this is speaking from just an observational
3 posture, but also just from the clinical
4 experience in terms of patients utilizing
5 different types of devices, if they only have to
6 use it only 20 minutes or for a short period of
7 time, they are going to be more compliant.

8 DR. MCNEIL: Do you have hard data on
9 that?

10 DR. LAURENCIN: In terms of whether
11 they are using it 20 minutes a day, again, they're
12 using it for such a short period of time, I'm not
13 sure it has been studied.

14 DR. MCNEIL: I think he was asking for
15 a hard number, 38 percent or 72 percent, or some
16 percentage.

17 DR. MCDONOUGH: That's what I was
18 asking.

19 DR. LAURENCIN: I'm not sure, but I
20 also think that what Dr. Aaron said bears that out
21 in terms of what the efficacy is, but I think the
22 short period of time does help.

23 DR. MCNEIL: So, did you get your
24 questions answered?

25 DR. MCDONOUGH: I think that's the best

00158

1 answer I'm going to get.

2 DR. MCNEIL: Mark and then Kim. I'm
3 sorry. Leslie, Mark and then Kim.

4 DR. WHITMAN: I would just like to say
5 one other thing. And granted, these studies are
6 not the same, but I think you can extrapolate it.
7 In our control trials we did for fresh fracture,
8 the appropriate usage for the ultrasound device
9 was greater than 90 percent with the 20-minute-a-
10 day usage. Now, I think you can extrapolate that
11 where that population would use it appropriately
12 90 percent of the time, or 90 percent of the
13 patients. I think it's very likely that will
14 happen regardless of what population you're using.
15 Anecdotally from a simple guy, I have not had one
16 patient that I had using ultrasound prematurely

17 stop, even before this.
18 DR. MCNEIL: Thank you. Leslie, Mark
19 and Kim. Do I have everybody.
20 MS. FRIED: Throughout the
21 presentations and even some of the other comments,
22 there was talk about a gold standard, how
23 autograft is the gold standard. Yet throughout my
24 notes, I look at them and my question is, is it
25 really a gold standard for an older disabled

00159

1 population? There were comments about how the
2 iliac crest may lack sufficient bone for the use
3 as a donor bone, how there is increased bleeding,
4 and obviously increased hospitalization,
5 et cetera. So I would like to hear comments about
6 whether it's really the gold standard for this
7 population and is that what we should be comparing
8 it against.

9 DR. LAURENCIN: I think that the
10 concept of gold standard varies with certain
11 people. If gold standard is what was done in the
12 old days, I guess it is the gold standard. But if
13 we look at what will give us efficacy, especially
14 in the Medicare population, I think there are new
15 standards that are coming to the fore.
16 If we look at these fractures and these
17 fracture nonunions, the problem for us is that
18 quality of life is poor, disability, cost to the
19 system is high. And so if you replace that with
20 an operative procedure, iliac crest incision,
21 which as at least the orthopedic surgeons know,
22 the one complaint that we get after doing a
23 complex operation on an extremity after six
24 months, the major complaint we have is what did
25 you do to my hip, it was fine before my operation.

00160

1 And also the fact that poor bone quality is often
2 found in the elderly in these areas. So we have
3 to, it's an old standard, still used standard, but
4 I think that we have new standards that have yet
5 come to the fore, so I wouldn't say it's actually

6 going to be our standard for the next 10 to 20
7 years. We have to have new standards, and I think
8 that these modalities present that.

9 DR. MCNEIL: Could I just follow that
10 up? We're here today, we're not here in 10 years,
11 so we have to make a judgment about the devices
12 before us today relative to a gold standard today.
13 So I think what was being asked is, and I think
14 Harry asked it first thing this morning, what is
15 it that we're comparing against, just to be
16 absolutely clear in like a one-phrase answer?

17 DR. LAURENCIN: I think that you're
18 comparing in terms of these treatments, you're
19 comparing them on one hand to no treatment and
20 what happens, and so if you have an established
21 nonunion and you don't do anything with it, the
22 percent rate of healing of that established
23 nonunion is zero percent.

24 DR. MCNEIL: Is that the gold standard?

25 DR. LAURENCIN: It's not the gold

00161

1 standard, but I'm just saying --

2 DR. BURKE: What are we comparing it
3 with?

4 DR. MCNEIL: My question is, what is
5 the gold standard?

6 DR. WHITMAN: Of what, treatment?

7 DR. BURKE: Let me just back up for a
8 second. So we have no graft, just fixation,
9 whatever you want to do, then we have just a
10 graft, and then we have graft plus adjuvant, and
11 then we have adjuvant alone, right? So those are
12 the possibilities we've got, but they seem to be
13 very mixed up and I don't know the rate of healing
14 nonunions with no graft, not sure what the rate is
15 with just graft, with graft plus adjuvant, or
16 adjuvant alone, so I'm looking for some kind of
17 metric.

18 DR. LAURENCIN: That's a good question.
19 The metric is in terms of an established nonunion
20 and not a delayed union, in which one study
21 showed that three out of 25 healed. But as a

22 delayed union, I know as an orthopedic surgeon
23 there is a possibility it's going to heal, that we
24 don't call it a nonunion, but delayed union. And
25 also, we know if we look at established nonunions

00162

1 at say 22 to 23 months, no correction, just as I
2 think it was very nicely said earlier, no
3 correction and no sign of correction for three or
4 four months, that percent with nothing in there is
5 zero percent healing down the line.

6 DR. BURKE: Do you have any literature
7 to support that?

8 DR. LAURENCIN: Absolutely.

9 DR. BURKE: I would love to see that.

10 DR. LAURENCIN: If you look at any of
11 those nonunion studies, the studies are
12 themselves, in other words, 22 months of nonunion.

13 DR. BURKE: What about three months?

14 DR. LAURENCIN: Three months, I
15 wouldn't categorize that as a nonunion.

16 DR. BURKE: So it's a timing thing,
17 right? You know, three months, 24 months, and
18 we've got to pick a timing thing here too.

19 Otherwise, we're going to have -- so, can we pick
20 three months? That seems to be what people are
21 using today.

22 DR. LAURENCIN: People aren't using
23 three months, and I think if you listened to --

24 DR. BURKE: Okay, three months after
25 expected healing.

00163

1 DR. LAURENCIN: Right.

2 DR. BURKE: But when does the clock
3 start ticking, that's what I want to know.

4 DR. LAURENCIN: In terms of?

5 DR. BURKE: In terms of a nonunion that
6 you believe is going to need some intervention.
7 Is it from time of fracture?

8 DR. LAURENCIN: It's time from
9 fracture, but clearly there are some areas, I
10 think as was explained earlier, there is no

11 precise definition of the time. However, there
12 are some clear areas where I think most orthopedic
13 surgeons are in agreement. Over one year,
14 clearly.

15 DR. BURKE: I will give you the
16 extremes, but I don't think people are looking at
17 the extremes. I think we could clarify what the
18 time is, and I wonder if the FDA had discussion on
19 this as well, but I was told it was like three
20 months of nonunion would be what people have --

21 DR. LAURENCIN: Sir, I want to make
22 sure we differentiate, three months of nonunion or
23 to time after fracture?

24 DR. BURKE: Well, that's my question.
25 I need a little clarification.

00164

1 DR. BOYAN: I would say the definition
2 of whether a surgeon is able to state that he or
3 she thinks it's going to be a persistent nonunion
4 and the freedom to start some interventional
5 therapy at that point for treatment of nonunion
6 that occurs at that time, I want to take two
7 seconds as a scientist, I'm going to take my right
8 as a guest panelist and make a few comments about
9 what really is happening inside a nonunion, and I
10 hope it would make the delayed persistent chronic
11 situation go away.

12 There are studies, and certainly some
13 of them were done by me, so fair disclosure, that
14 says what happens with cells is they migrate into
15 a nonunion, and people keep saying nonhealing.
16 There is healing in a nonunion, but it heals with
17 tissue, just not bone tissue, so what kind of
18 tissue is in there is scar tissue. And as it gets
19 into, as the cells migrate into that site to fill
20 up whatever the space is before you go on to have
21 a nonunion, these are cells that have the capacity
22 to move, and they differentiate into something
23 once they're there depending on what kind of
24 information that they get.
25 And some of those cells are stem cells.

00165

1 In the first week after an acute fracture or after
2 an acute defect is created by a surgeon, for any
3 reason, the cells explode into potential stem
4 cells that would have the capacity to become
5 whatever they need to be, cartilage, bone, blood
6 vessels, fat, whatever they need to be. After
7 time goes on and by about three months after the
8 time that the injury happens, most of those cells
9 have already met a determined fate, and the number
10 of cells that are left to become anything that's
11 going to save that site are so few in number that
12 in a site that's going to go on to become a
13 nonunion, it's filled up with cells that are
14 fibroblasts that are creating scar, and that are
15 fibrocondyle sites. Most of the fibroblasts that
16 make scars, these are not cells that are going to
17 go on and miraculously heal with bone that site.
18 So this happens right at three months.
19 And if we want to have an intervention that is
20 going to make the patient heal with bone, then the
21 longer we wait after three months, the fewer and
22 fewer of those responding cells are going to be
23 present. So the FDA listened to the panel that
24 was much like this one, talked to them, and
25 finally the panel recommended to the FDA and I

00166

1 think that finally the guidance came out that
2 suggested that three months was an opening time
3 frame to start treatment. And I guess as I'm
4 sitting here listening to us argue about this, I
5 would say let's not argue about it, because the
6 biology, and I get a kick out of hearing surgeons
7 talk about the biology, but the biology --
8 (Laughter.)
9 DR. BOYAN: But the biology of the
10 cells that are there in that site after three
11 months have less and less capacity, and in older
12 people there are even fewer of those cells. There
13 is documented evidence that shows that older
14 people have fewer potential stem cells to begin
15 with and they will then therefore have fewer of

16 them in those sites.
17 DR. MCNEIL: Thank you very much. That
18 was really an important comment. What I would
19 like to do is, I want to make sure, we have a
20 limited amount of time to speak to our presenters,
21 so I would like to ask Dr. Jones whether he has a
22 relevant comment to make to the preceding
23 question, or an irrelevant one, I guess.
24 DR. JONES: I was up here just to
25 address Dr. Burke's comment about comparing to --

00167

1 DR. MCNEIL: Yes, that's relevant.
2 DR. JONES: And it's a general
3 question, like saying well, what do you use to
4 treat cancer, when it depends on what type of
5 cancer.
6 DR. BURKE: That's why I asked.
7 DR. JONES: The reality is that for a
8 biologic stimulus, it was at one point the only
9 thing we had, but now there are some options,
10 including ultrasound, the orthobiologics, if we
11 want to lump them together, that are efficacious.
12 But for a patient with bone loss, ultrasound,
13 electrical stimulation, no matter how often or how
14 much you put it on there, it is not going to make
15 up a bone defect, and we're really only comparing
16 it to autologous bone graft. That's all there is,
17 so a really critical distinction is whether there
18 is bone loss or not.
19 And as far as three months, what I
20 think we heard in some of those things today, can
21 a surgeon look at an x-ray and see no progression
22 at three months and accurately predict which
23 patients are never going to go on to heal, and the
24 answer to that is yes. There are plenty of
25 patients who at three months you say listen, I

00168

1 don't think you're going to heal, I think you're
2 going to need an operation, and they say Doctor,
3 can I wait? You say sure, but the times you're
4 wrong are one percent. At three months either

5 it's happening, you can see it on x-ray, or it's
6 not, and you have to do something.
7 DR. BURKE: So there has been a study
8 to show that?
9 DR. JONES: If you look at -- what you
10 don't get is people who are determined to heal and
11 then elect to have another surgery, so you will
12 have treatment failures in the success group,
13 so --
14 DR. BURKE: You don't pick the ones who
15 are going to fail, the ones who aren't, and just
16 do an iliac crest and see which ones don't heal
17 well and which ones do, you wouldn't know your
18 accuracy.
19 DR. BOYAN: I think that would be
20 ethically a nonstarter.
21 DR. BURKE: So my point is, you really
22 don't know how accurate you are?
23 DR. JONES: Well, no, because there's
24 part of the control base that says I don't want to
25 have surgery right now, or that have wounds or

00169

1 whatever.
2 DR. BURKE: That's bias.
3 DR. JONES: Maybe selection bias.
4 DR. MCNEIL: Dr. Burke, can we just
5 keep to the questions?
6 DR. BURKE: Right, but I'm just trying
7 to understand what it is we're supposed to be
8 doing here. You know, we're being asked to say
9 whether there is efficacy here and I'm just not
10 clear what efficacy means, given the heterogeneity
11 of the studies referenced today.
12 DR. MCNEIL: That's what we have to
13 discuss.
14 DR. BURKE: Right, exactly.
15 DR. MCNEIL: So for the moment I have
16 Marc, and then Kim.
17 DR. BERGER: I just want to turn for a
18 moment to the harm side of the equation, and you
19 know, we haven't heard a lot of discussion today
20 about what are the potential risks or harms that

21 accompany any of these therapies. We are all
22 making a presumption, I assume that the
23 noninvasive therapies have much less harm
24 associated with it, whether it's the ultrasound or
25 the electrical stimulation that's external, but

00170

1 I'm curious to know if that's really the case and
2 have people make a comment about the fact, how
3 many people get harm associated with it? I mean,
4 are there any harms associated with it, and how
5 often do they occur?

6 DR. MCNEIL: Who would love to answer
7 that question?

8 DR. WHITMAN: I can answer that one for
9 ultrasound. There have been no harmful related
10 events to treatment, and in comparison to placebo,
11 which is an ultrasound head that is basically
12 disconnected, there is no difference.

13 DR. AARON: I think the same is true
14 for the noninvasive electrical stimulation. The
15 EDI keeps a quite extensive registry, now probably
16 20 or 30,000 people who have been treated. Some
17 (inaudible) translation possibilities for a
18 variety of EMI, both environmental and
19 therapeutic, and found (inaudible).

20 DR. CARMACK: The only one that may
21 have an answer that I know, or feel very strongly
22 about, is the orthobiologics, because these are
23 being designed to turn themselves on, be
24 aggressive, and it has been reported that there
25 has been no malignant transformations, but that is

00171

1 one concern I have as a clinician in the long run.

2 DR. MCNEIL: Kim.

3 DR. KOVAL: I forgot my question
4 already.

5 DR. AKLOG: I have a question to ask.

6 If we look at the technology assessment, they were
7 very rigorous about including only data that's
8 relevant to the specific questions, but as we go
9 through the talks, there has been a lot of data

10 that we are being asked to extrapolate from with
11 regard to acute fractures, other sites, and so
12 forth. And I guess ultimately the burden is
13 really on you guys to convince us that it's
14 reasonable for us to consider that other data and
15 extrapolate from that data. Do we have biologic
16 reasons, clinical reasons or any other reasons to
17 justify doing the extrapolating and incorporating
18 that other data?

19 DR. JONES: To me, I think if you take
20 either a tibial nonunion or a severe open tibial
21 fracture with a lot of soft tissue injury, that's
22 sort of a worst case scenario for fracture
23 healing. It's like growing grass underneath a
24 magnolia tree, it's not going to happen unless
25 something really important changes things. And

00172

1 the other side of that is if you can get something
2 to happen in that scenario, it works other places
3 and for other reasons. So if you can get
4 something that hasn't done anything for 42 months
5 over six operations to heal, then that's a real
6 thing, and if you can get a grade three tibial
7 fracture to heal without an infection, without
8 another operation, that is a real thing.

9 DR. AKLOG: But a lot of the data was
10 for acute fracture, and how can we incorporate the
11 acute fracture data into the effectiveness of the
12 nonunion data?

13 DR. JONES: Well, one way to look at
14 those is that half of those, or almost half of
15 those more severe open tibia fractures go on to
16 nonunions just from day one. Half of them are not
17 going to heal no matter how long you wait without
18 doing something else. So you can either bone
19 graft them earlier, there's a great study by
20 Polick, et al., that said okay, we're going to
21 take every single open tibia fracture as soon as
22 the wound heals, and bone graft it. And can you
23 get healing, sure, 80 percent of the time. But
24 half of them probably didn't need a bone graft, so
25 is there something better, yeah, probably so.

00173

1 DR. PHURROUGH: Could I just add,
2 Barbara has told us that there is a heck of a lot
3 of difference in the number of cells present with
4 a nonunion that she knows is going to be a
5 nonunion at time of injury versus a nonunion three
6 months later. So it does appear difficult to
7 extrapolate the applications of these technologies
8 when applied to a milieu that has a lot of stem
9 cells that may extrapolate, versus a milieu that
10 doesn't have a lot of stem cells that may turn up.

11 DR. JONES: What she's talking about is
12 acute post-fracture where you see there's a
13 fracture hematoma, there's a normal hemotaxis, and
14 in the study Barbara was talking about was an open
15 tibia fracture with a wound that gets washed out,
16 there is no hematoma, there is a bone strip that's
17 dead, it looks like ivory, there is no cell, no
18 biology, no biology, it's just a hole, and in some
19 cases there is not even bone, so there is no
20 biology there, and that's the reason they don't
21 heal.

22 DR. BURKE: So the argument shifts.

23 DR. JONES: Right, but in close
24 proximity.

25 DR. MCNEIL: Do these relate to this

00174

1 particular question?

2 DR. LAURENCIN: Oh yes.

3 DR. MCNEIL: Okay, please.

4 DR. LAURENCIN: Well, just a couple of
5 points. One is, I think the nonunion data stands
6 by itself. The reason why I think we mentioned
7 the data for fresh fractures is, number one, I
8 think it's the only device that has the indication
9 for fresh fractures. And number two is that when
10 we present the mechanism of healing that takes
11 place in looking across the cascade of healing,
12 one obvious question is if you work in all these
13 different areas in terms of healing, one would
14 expect that a fresh fracture would accelerate the

15 healing of fresh fractures, and that's what
16 occurs, it actually, it does enhance the natural
17 healing process, it actually enhances and
18 accelerates healing the fractures, which has been
19 shown through a number of studies.

20 DR. AKLOG: But you have to acknowledge
21 that they both could be true, you could have
22 accelerated healing of acute fractures but it
23 might not affect the quiescent nonunion, and
24 you're asking us to make that leap.

25 DR. LAURENCIN: No, I'm not asking

00175

1 anything. I prefaced my remarks by saying that
2 nonunion stands on its own, that's the first
3 preface. So put that there. The second part of
4 it is that epilogically, if one says well, what's
5 the mechanism, the mechanism works on all these
6 different areas of fracture healing. The next
7 question would be, well, if it works in the
8 different areas of fracture healing, one would
9 then expect it may have an effect on acute
10 fractures, and does it have effect on fresh
11 fractures, and it does. So it brings the story
12 around in terms of the mechanism because the
13 mechanism is there and we're saying it perhaps
14 actually would work.

15 DR. AKLOG: We're not asking you
16 whether the data on nonunions would make you
17 expect it to work in acute fractures, we're saying
18 the opposite, which is that we were presented with
19 a lot of data that was added on top of the TA
20 report on acute fractures and asked to accept that
21 as further support for its effect on these studies
22 and in other areas as well.

23 DR. LAURENCIN: I think the evidence
24 presented for ultrasound that was in support of
25 nonunion, it does support the mechanism, because

00176

1 the mechanism involved in all these different
2 steps, and if one accepts, does it have effect on
3 fresh fractures, but I don't --

4 DR. MCNEIL: Okay. I don't know at
5 this point that we need to go into the mechanism
6 very much. I think we've got enough to do.

7 DR. DICKSON: I'm still offended by her
8 comment.

9 (Laughter.)

10 DR. DICKSON: I do think there's a
11 little bit of confusion and I want to address that
12 issue. I think one of the problems with when
13 you're defining the nonunions, there are three
14 different types of nonunions, and I think, Dr.
15 Burke, that is somewhat of a problem. Because if
16 you have a hypertrophic nonunion, you know, nine
17 months later, and you just put a plate on it, do
18 absolutely nothing biologically, and it will heal.
19 I'm convinced that the standard is autologous bone
20 grafting, and that is your standard that you need
21 to work on right now for an absolute nonunion.
22 The quasi comes in in how you define
23 it. Now the FDA used to define it at nine months
24 and that's how we administered treatment, and it
25 was absolutely miserable. To me, the definition,

00177

1 and this is a definition that I used several years
2 ago when I published on this, was that you had to
3 have a certain period of time. Every fracture is
4 different in terms of the bone, and the tibia, we
5 talked a lot about that. But at two months to
6 three months, the tibia should be healed. Now if
7 it's still progressing toward union, even if
8 you're five months or six months out, you can't
9 have a nonunion yet, you have to call it a delayed
10 union, as long as there is some clinical or
11 radiographic progression. Once that stops and you
12 use a certain amount of time, I chose four months
13 in my paper, and that's important information when
14 you're reading all these studies.
15 In terms of the ability to take acute
16 data and roll it into nonunion, I don't think you
17 can do that. I think that you have to look at the
18 nonunion data. It would be great, and maybe some
19 of the industries are throwing darts at my back

20 right now, but you need to look at the nonunion
21 data, that's the question today. We're not
22 talking about acute fractures. And I think that
23 the nonunion data is what it is, and we can argue
24 what it is, but I don't know how much correlation
25 there is between that.

00178

1 PANELIST: Can you talk about closed
2 versus open fractures?

3 DR. DICKSON: There is no question that
4 the higher the injury, I mean, I think there are
5 acute fractures, but whether an injury has closed
6 or opened is a big difference, because an open
7 injury has much more damage to the blood supply
8 and therefore, it's more difficult to heal. And
9 as Alan and Mike said, they had a 46 percent
10 nonunion in a very high level of injury, and these
11 were not doing any bone grafting initially, it was
12 just fixing the fracture.

13 DR. MCNEIL: John, did you have
14 something?

15 DR. KIRKPATRICK: Yes. Just to help
16 with an understanding of all this, it sounds like,
17 if I remember the question, it's correlating the
18 basic science knowledge with the use of these
19 different treatment modalities. Am I correct that
20 that's the basic question, right? One of the
21 things that happens in a nonunion when you operate
22 on it, so we're doing operative management of it,
23 is we're basically almost getting back to an acute
24 fracture, because we are actually cutting out the
25 soft tissue there and trying to reimpose the bone,

00179

1 and if there's a segmental defect, we're going to
2 graft it to replace that space. If it's a
3 nonunion, we're going to graft around it to get
4 added biology to it, and nowadays we're probably
5 going to add INFUSE or the OP-1.

6 DR. DICKSON: That's not true, you
7 don't cut around the nonunion.

8 DR. KIRKPATRICK: You don't debride

9 your nonunions?

10 DR. DICKSON: Not -- it's a --

11 DR. KIRKPATRICK: I can tell you from
12 slides that have been presented today that if you
13 don't take it out, you're not going to correct
14 your deformity and you're not going to get a
15 result.

16 DR. MCNEIL: I would love not to have
17 an argument.

18 DR. KIRKPATRICK: From the biologic
19 standpoint, you are rejuvenating the fracture site
20 if you do resectors in arthrosis, okay? And that
21 starts over the biological change that Barbara was
22 talking about. That does not at all apply to the
23 PEMF, to the shock waves, or to the ultrasound,
24 because we're not doing that radical of a thing.
25 Now they may have evidence to show that that

00180

1 happens on a micro level, but I haven't seen
2 enough of that to really rely on it. So
3 conceptually, if we're talking about the operative
4 management of a fracture that is truly a nonunion,
5 many times many surgeons will debride the nonunion
6 and create basically a fresh site, and then add
7 biologic stimulus to it.

8 DR. AKLOG: Just to summarize, do you
9 think it is reasonable to extrapolate to some
10 degree for the surgical adjuncts?

11 DR. KIRKPATRICK: For the surgical
12 adjuncts, I think the extrapolation is a
13 reasonable jump, but not a hundred percent
14 accurate jump.

15 DR. DICKSON: I guess my point is when
16 you start with the basics and then try to add on
17 to it by debriding a nonunion, as you say, in a
18 crooked bone, yes, you have to straighten it out,
19 and that turns into a fresh fracture. But if
20 you're going to take out a nonunion site, you're
21 going to basically devascularize it. So as
22 opposed -- I think one of the mistakes made in
23 orthopedic nonunion surgery is they devascularize
24 it by taking it all out, when that's actually

25 vascularized tissue that can aid in healing, and

00181

1 you don't need to delete it.
2 DR. MCNEIL: Thank you, that's great.
3 So, I think what I've decided is, it's a little
4 controversial about how you get your nonunion
5 fracture fixed. So what I would like to do is go
6 to Sean, Ken and Mark, and I'd like to ask if
7 there are any other questions, because at this
8 point I'm going to wrap up the questions for the
9 presenters, so I would like these questions to be
10 brief, if possible, I would like the responses to
11 be brief, and at that point we will have an open
12 discussion among the panel members, and there may
13 be another question to the audience as well, but I
14 am really worried that if the group doesn't get a
15 chance to really talk among itself and really
16 raise the issues, we're not going to have a
17 productive discussion and that's going to lead to
18 judgments that may not be as good as we would like
19 at the end of the day. So Sean, please?
20 DR. SULLIVAN: Well, I learned a lot
21 about bones so far today and I think I'm ready to
22 take the orthopedic exams. The focus on a lot of
23 these discussions has been on bones, and we're
24 talking about human beings. And so my question to
25 the panel members or to the speakers is, to what

00182

1 extent do you have from the literature any data on
2 outcomes that are important to patients, function,
3 ability to get back to work, quality of life? I
4 have heard a lot of anecdotes and opinions, but
5 where are the data? Can you help?
6 DR. JONES: I think we can start with
7 one that there's not a lot of data. If you look
8 at the BMP-2 allograft, we did some patient
9 subjective outcome, instrumentation, and what we
10 saw was the patients had a great deal of perceived
11 disability, and that improved in both groups,
12 there wasn't any significant difference between
13 the groups.

14 I think what is most important to look
15 at is in the New England Journal, Fosse, et al.,
16 published a big series of patients with severe
17 lower extremity injuries, I forgot, open tibia
18 fractures, and a huge portion went on to secondary
19 operations, many of them went on to nonunions.
20 That group is incredibly disabled, and one of the
21 evaluative measures was return to work, and I
22 can't remember whether it's statistically
23 significant, but these patients had a devastating
24 injury. And they just published the
25 five-to-seven-year data, and five to seven years

00183

1 later they were just as badly disabled.
2 So this is an incredibly disabling
3 injury. If you wait, let it go on for two or
4 three years, no matter what you do, they don't get
5 back in society, they don't go back to work, and
6 it is truly a life-changing event for most people.
7 DR. SULLIVAN: Just to follow up, I
8 believe it is a life-changing event and I'd bet if
9 you look at the SF-36 profile, you would find a
10 tremendous burden in these patients with these
11 fractures. I'm wondering, are there any patient-
12 reported outcome data to differentiate any of
13 these products from what would be considered
14 standard or gold standard care.
15 DR. JONES: No, not that I know of.
16 DR. SCHOELLES: No.
17 DR. MCNEIL: All right. Kim, do you
18 remember your question?
19 DR. KOVAL: I have a comment to the
20 panel and will wait.
21 DR. MCNEIL: And Mark, which Mark? It
22 was a Mark. Mark Fendrick, did you have a
23 question?
24 DR. FENDRICK: (Inaudible.)
25 DR. MCNEIL: Okay, it's duly noted.

00184

1 DR. BURKE: Can we ask Dr. Schoelles
2 for her comments?

3 DR. MCNEIL: Yes, we certainly can.
4 DR. SCHOELLES: Comment on?
5 DR. BURKE: On anything presented by
6 our speakers today.
7 DR. SCHOELLES: Perhaps something more
8 specific.
9 DR. FENDRICK: We heard from at least
10 one, I think two presenters, that there were
11 longitudinal history studies of nonunion
12 fractures, which I presume would have been very
13 early on in your TA. I'm guessing they're
14 published in a foreign language or in places where
15 you couldn't find them, and I'm not going to ask
16 Dr. Laurencin now, but if there are longitudinal
17 studies that show that nonunions never heal,
18 really in a rigorously designed longitudinal
19 study, even without a control, that would be very
20 useful. But you didn't find that specifically,
21 did you?
22 DR. SCHOELLES: Perhaps we are at
23 fault, but I don't believe so. In the registry
24 data, there were some studies cited and I looked
25 at those sources and they quoted orthopedists who

00185

1 believed that nonunions would not heal.
2 DR. BURKE: But you didn't find any
3 such things?
4 DR. SCHOELLES: Not in humans, one in
5 aging rats.
6 DR. BOYAN: And you missed the one in
7 aging dogs.
8 (Laughter.)
9 DR. MCNEIL: I don't think we're going
10 to consider aging rats and dogs as part of our
11 deliberations, is that okay with you? Is this in
12 response to a question?
13 DR. LAURENCIN: Oh, yeah, it's
14 certainly in response. If you look at the
15 registry data that took place in one of these
16 studies and also look at the Morrow study, the
17 other studies in ultrasound, patients were, what I
18 meant in terms of longitudinally looking at

19 nonunions, patients were brought in who had
20 nonunions and they had to have at least
21 four-and-a-half months in which they have had no
22 other surgical intervention and no progression
23 during that period of time. And so these patients
24 were, they were self-controlled, all these
25 patients we're talking about, so we winded up with

00186

1 a mean time of 21 months, four or five months with
2 nothing, no progression during that period of
3 time. So you know, I think that information,
4 then, that information on these patients really
5 probably speaks to the fact that these nonunions
6 are long-standing and will not go on to union.
7 DR. MCNEIL: Thank you very much. Are
8 we ready for discussions? We are ready for open
9 panel discussions. Actually, we have a ton of
10 stuff that we can deliberate on.

11 MS. FRIED: Can I clarify and just ask
12 a question of Steve? There was comment that back
13 in April of 2005, there was already, was it an
14 MCAC decision or was it a CMS decision regarding
15 ultrasound and nonunion fractures? And I'm
16 wondering, I tried to download it, but the
17 database was down a good part of the week. Can
18 you tell me about that?

19 DR. PHURROUGH: We have an older
20 ultrasound decision that said we would only cover
21 ultrasound post surgery, lots of reasoning behind
22 that, but then we were asked to relook at that
23 particular data to determine if we had made the
24 right call, and should ultrasound be covered
25 without requiring surgery first. And we relooked

00187

1 at data and changed the decision to say ultrasound
2 could be covered without having prior surgery.
3 That was the extent of what occurred.

4 MS. FRIED: And it's for nonunion
5 fractures?

6 DR. PHURROUGH: Yes.

7 MS. FRIED: And what was it based on?

8 DR. PHURROUGH: That was based on a
9 relook at the same evidence essentially.
10 DR. MCNEIL: Well, yes?
11 DR. BOYAN: I actually have a question,
12 or two questions. One has been bothering me a
13 little bit and it may be one you can answer.
14 We're really talking about two different things
15 here. One set of treatments are used, require
16 surgical intervention, and one set of treatments
17 do not, and it seems to me that we're mixing
18 apples and oranges in terms of our thinking. If
19 we're asking patients to go through a surgical
20 procedure, the morbidity that's associated with
21 that surgical procedure to me is significant
22 enough, and I'm wondering why we've got it mixed.
23 There are advantages to both treatment modalities
24 and maybe we shouldn't lump them all together.
25 I think what's confusing the crowd down

00188

1 here as I was listening to it, they are trying to
2 separate this out, and there are ways of treating
3 what is either an, in answer to the question about
4 this long-term thing, is there data or are there
5 data to say that nonunions do not heal? The
6 long-term consequence of a long bone is a
7 pseudarthrosis, and there are plenty of published
8 papers that show that, so that if left untreated
9 by anybody, eventually these things go on.
10 So if we say okay, we agree that
11 treatment is good and we have two kinds of
12 treatments, one that requires surgical
13 intervention and one that doesn't, then maybe we
14 need to separate our thinking into those two
15 categories and see the positives and negatives of
16 both in addressing these questions.
17 DR. MCNEIL: How would you like to
18 modify, if you just look at the second question,
19 or the first question, how would you like to
20 modify that?
21 DR. BURKE: Is this related to open and
22 closed fractures as well?
23 DR. BOYAN: Well, I guess the way I was

24 perceiving it with all this augmentation is that
25 if the surgeon says this is going to be a

00189

1 nonunion, it looks like it's going to be a
2 nonunion, and has the ability to prescribe a
3 nonsurgical intervention at that point, and then
4 if that fails, says okay, that didn't work, now
5 I'm going to do a surgical intervention, to me it
6 makes, surgical intervention, either just the
7 biologics, just the graft, whatever the mixture is
8 that we all talked about, plus or minus whatever
9 add-ons they might be adding on. That seems to be
10 a more logical progression in the treatment
11 decision-making than saying okay, it looks like
12 it's going to be a nonunion, let's go graft it
13 right now, and especially in the older patients
14 for whom we all know, they have fatty marrow, they
15 have a whole lot of reasons why a surgical
16 intervention might be a second decision rather
17 than a first decision.

18 DR. BURKE: Would you define surgical
19 intervention, is that a graft you're talking
20 about?

21 DR. BOYAN: It could be anything where
22 the patient has to undergo anesthesia.

23 DR. BURKE: Well, those are kind of
24 different things, right, so one would be to fix
25 the fracture and the other is where you're going

00190

1 to do a graft.

2 DR. BOYAN: I would say that if we were
3 going to place the patient in a stiff cast, that
4 would be a nonsurgical intervention. If we're
5 going to put the patient under anesthesia and do
6 something, that is a surgical intervention. If
7 we're going to then also actually have to do
8 surgery that includes grafting, that would still
9 be a surgical intervention.

10 DR. BURKE: But my point is if you have
11 an open fracture, you have to go in there and fix
12 the fracture.

13 DR. BOYAN: I'm past the first fix.
14 DR. BURKE: So you're past the first
15 surgery, so whether they get the first surgery or
16 not, that's not material?
17 DR. BOYAN: That's right, it's when the
18 surgeon decides that this is going to be a
19 nonunion.
20 DR. BURKE: Okay.
21 DR. AKLOG: But I have been trying to
22 make the same distinction as well as far as the
23 noninvasive treatment versus the surgical
24 treatments, but we're not commenting on the
25 surgery itself. It seems that the modalities

00191

1 they're looking at are all adjunctive to surgery,
2 so it's really not the decision, correct me if I'm
3 wrong, as to whether a patient needs surgery or
4 not, but well, if he clearly does need surgery,
5 should we add one of these modalities to it. So I
6 mean, that seems reasonable and I just wanted to
7 make sure.
8 DR. MCNEIL: Alex.
9 DR. OMMAYA: Yes, a question for Steve,
10 just a clarification on question number five,
11 which mentions, how confident are you that
12 improved net health outcomes will hold for the
13 nonunion treatments when surgery is not first
14 performed, could you explain that in reference to
15 the ultrasound coverage decision and this
16 conversation right now about surgery, what surgery
17 do you mean?
18 DR. PHURROUGH: In putting these
19 questions together and looking at treatments of
20 nonunion, we were focusing on a nonunion that is
21 defined by time as well as to no sign of healing,
22 versus a clinical decision at the time of injury
23 that this would be a nonunion. I think we need to
24 set those patients aside and only look at those
25 who based on time who have a nonunion.

00192

1 And considering as a gold standard, as

2 we have been trying to establish, that these
3 nonunions would have in fact had in most cases
4 some kind of surgical intervention, whether
5 rodding, plating or whatever. So, the initial
6 questions were in our mind prefaced on a surgical
7 procedure being involved. And then question five
8 is saying, would this work without first having
9 had a surgical procedure? Obviously it's a little
10 bit difficult to do that in something that
11 requires a surgical procedure to be implanted. So
12 that was our original intention. Now if we have
13 gotten those questions wrong, and there may be a
14 better way to word it, but in general, our
15 thinking is that the standard was that you've got
16 to intervene with these patients surgically.

17 DR. BERGER: I'll try to kick off the
18 discussion with a couple of observations. First
19 of all, much of the evidence that has been
20 presented today is confounding evidence, it's not
21 of the highest quality that I'm used to seeing,
22 and I usually don't look at devices, I usually
23 look at drugs, and the level of evidence here is
24 just appallingly low compared to the kinds of
25 levels of evidence that we look at for drugs.

00193

1 Secondly, it's not clear to me, and it
2 was well discussed in the technology assessment
3 before us, how we can completely disentangle with
4 any great degree of assurance what happens when an
5 intervention is made, since there are multiple
6 things that could be used at any one time. So
7 that when they go back in to do something, they're
8 doing other things, whether it's restabilizing or
9 doing something else.

10 I also am still troubled, and I believe
11 that there are nonunion fractures and I believe
12 that at the end here, at the far end there are
13 nonunion fractures that will never heal and
14 everybody will know that. But I also get the
15 impression that many patients are called nonunion
16 when in fact they are probably delayed union. And
17 I understand there is a judgment call here and

18 this is the art of science, there is some art
19 involved here, and I also understand that there is
20 a real patient there that may not want to wait for
21 the delayed healing to take place X months later,
22 and therefore to remove suffering and to get them
23 to a better end is not a wrong decision, but that
24 does confound the questions we have in front of
25 us. Because I get the impression that a lot of

00194

1 patients that are randomized under these studies
2 might be, if we could have perfect knowledge, you
3 would say they were delayed healing as opposed to
4 nonunion. So having said all that, you know, it
5 makes it really difficult.
6 And I guess the other point to make is,
7 I have no way to know what is the relative
8 effectiveness of these different treatments. And
9 I will separate those as the noninvasive from the
10 invasive. So I can't tell where you're opening
11 up, but you're putting in an autologous graft or
12 you're putting in one of these biologics, or
13 you're putting in demineralized bone matrix. I
14 get the certain confidence that in the right
15 hands, everybody agrees that any or all of these
16 are helpful, but I can't tell how good those are
17 relative to each other. I see some suggestive
18 data but by no means definitive data that would
19 let me know with any certainty that any one of
20 those invasive things is any better than any
21 other.
22 Similarly, the noninvasive procedures,
23 whether using electrical stimulation or
24 ultrasound, I have no way of knowing whether one
25 or the other of those is any better than the

00195

1 other.
2 And that's why, the question I asked
3 earlier was about harms, because if I can't tell
4 which is better, then I'm going to go, well, if I
5 think I need to do something and I believe they
6 may do some good, I'm going to use the least

7 harmful one first. That would be the way I would
8 approach it if I were still a practicing
9 physician, but I'm a recovering physician. But I
10 find it, to me it's just a little surprising how
11 the kinds of things I would like to know in order
12 to make intelligent decisions about, if there was
13 a patient in front of me, about what I was going
14 to do next and which procedure should I use, I
15 don't have a lot of help here despite all of the
16 data that was presented, so that's my contribution
17 to this discussion.

18 DR. MCNEIL: So, Ken, did you have a
19 comment?

20 DR. KOVAL: From listening to all this,
21 I'm just trying to find out if there's efficacy,
22 that there is evidence-based medicine that these
23 devices work. And everyone, particularly the
24 non-orthopedists, has said that the level one
25 evidence is not there, and we've heard again and

00196

1 again it's not there, and putting blame on the
2 manufacturers who are making these products that
3 level one evidence is not there. And having gone
4 through this process, I can tell you that one of
5 -- I'm not sure the onus has been on them, but
6 it's been approved for -- the FDA approved it and
7 I have asked some of the companies, can you do a
8 prospective randomized controlled study to show
9 that this product works, and they say well, we've
10 been using it for five years now, why do we need
11 to do a prospective randomized study, we've been
12 using it for five years. And the ones that have
13 the best evidence are the ones that had to go
14 through the FDA process, and those are the ones
15 that got the evidence base.

16 And then when Dr. Laurencin showed his
17 results, that they did a patient controlled as
18 opposed to a randomized controlled trial for
19 EXOGEN, and so I said, well, why wasn't it a
20 better study? Well, it was because the company
21 did the least they had to do to get it by the FDA.
22 Why didn't a company who is a for-profit company,

23 have to do the highest level when they did what
24 they had to do to get it approved? And the reason
25 why the drug companies, the reason why the cancer

00197

1 trial is so good is because the NIH is giving
2 hundreds of millions of dollars worth of trial
3 support to the drug companies sponsoring
4 themselves. So we have to keep that in mind, that
5 the evidence is not there, but it is partially our
6 own government's fault.

7 DR. MCNEIL: Did you have an answer to
8 that? I have Kim, I have Harry, and Bob. Okay.

9 DR. BURKE: Magnets, I love magnets. I
10 think magnets do a great job in nonhealing
11 fractures, so I'm going to go out and I'm going to
12 collect some patients, and if the patients don't
13 really do too well, I'm going to kind of skip
14 them, okay? I'm just going to stick with the
15 patients I like and I'm going to pick patients who
16 are really going to heal their nonhealing
17 fractures really nicely. I'm going to put my
18 magnets on them. You know what, I could present
19 this evidence to you today, and you would love it.
20 So the other side of the coin, I don't
21 do any harm with my magnets, so great, so we
22 should move forward with my magnets because there
23 is some evidence, it's kind of encouraging, and I
24 don't know do any harm. Well, that's the other
25 side to that picture. And as to your point,

00198

1 standards of evidence have changed over time.
2 Yeah, years ago we had pretty low standards of
3 evidence and we allowed a lot of things to be done
4 and used that we don't anymore today because they
5 really weren't very effective.

6 DR. PHURROUGH: Can I speak for the
7 government?

8 DR. MCNEIL: Sure, you can speak for
9 the government.

10 DR. PHURROUGH: Just a comment. One of
11 the difficulties is that there are various

12 branches of government, and the branches of
13 government respond to other branches of government
14 that sort of dictate how you do what it is that
15 you're supposed to do. FDA has rulings that it
16 follows, some of which are mandated by law, some
17 of which have evolved over the years. In some
18 cases that's a higher order of evolution, in some
19 cases it's not. And we have different rules.
20 And I think that sort of the place
21 we're in right now is that FDA has standards in
22 which they have approved in the past certain
23 technologies, and as an aside to Dr. Berger, we on
24 the other side of the room who are another agency
25 of the government who are going to have to pay for

00199

1 these devices now have concerns that those things
2 that meet certain standards of one branch of the
3 government may not in fact meet the standards that
4 we're concerned about and that is not, are they
5 okay to use on patients, which has been in the
6 past an FDA standard, somewhat higher now I think
7 in many cases, but are they okay to use, to our
8 concern of being, do they work, do they make
9 people better.
10 So I think that's the sort of tension
11 that we're in now, and I recognize it's a
12 difficulty for those of you in many cases who have
13 been basing decisions both on the industry side of
14 where we're going to put our money and on the
15 academic side of how we're going to support that,
16 to sort of now, you've got two people you've got
17 to play it against, not just those who say you can
18 sell it, but those of us who say whether we're
19 going to buy it or not.
20 So yeah, there is a problem, but that
21 problem is, I think we're in the right place,
22 where we're going to be careful about the kinds of
23 things that we're going to buy and we're going to
24 ask for good information to make those decisions.
25 And if we don't have good information, we'll do

00200

1 the best we can with the information that we have.
2 And because we do the best we can with information
3 we have doesn't mean that we're comfortable with
4 that information, we just have to make those
5 decisions with what we have.

6 DR. MCNEIL: Well, I think you're also
7 changing the bar.

8 DR. PHURROUGH: We hope.

9 DR. MCNEIL: Let's see. I have John,
10 Lishan and Barbara.

11 DR. KIRKPATRICK: First as a general
12 comment, which may echo exactly what Ken said, and
13 that is that while our colleagues on the panel are
14 cynical of open-spaced medicine in your experience
15 or your practice, orthopedic surgery in general
16 has not been able to attain that same level of
17 evidence-based medicine. Much of it I believe is
18 because of the nature of our patients being so
19 diversified, compared to, for example, somebody
20 who has a single anterior descending artery
21 occlusion where you do a fairly straightforward
22 cardiac study on them. We don't tend to get a
23 large number of patients with the same identical
24 pathology.

25 In addition to that, we don't have a

00201

1 lot of experience among our surgeons in doing
2 evidence-based medicine, so when you combine the
3 two, I think that explains the limited knowledge
4 that we have as far as true evidence-based
5 measures.

6 We also have a very difficult time
7 getting validated outcome measures, for example,
8 for a tibia fracture. Our professional
9 organization did a tremendous investment into a
10 particular type of looking at outcomes measures
11 and when it all came in, none of it could be
12 appropriately validated to give us good measures
13 for specific entities, and that's the MODEMS
14 program, if anybody is familiar with that.

15 But I also think we also need to
16 understand the concept of what an orthopedic

17 surgeon is dealing with in a patient with a
18 nonunion or a delayed union as it may be. The
19 patient is going to come in to us at three months,
20 he has been out of work for those three months, he
21 has a relatively unsophisticated occupation that
22 requires him to be on his feet and he can't get
23 there. We see maybe a little bit of
24 calcification, so it's probably not enough that we
25 will operate on him now, but we want to do

00202

1 something to help speed that along, so we might
2 add one of these external devices. He comes back
3 at four months and we see abundant callus. No, I
4 can't tell you a hundred percent that the device
5 made the difference, but to that patient it did,
6 and if the psyche makes a big difference, which is
7 a huge part of well-being for a patient, that may
8 have made the difference and turned the tide to
9 get that guy back to work.
10 On the other hand, if it's six months
11 out and we've already tried that, then the patient
12 was reluctant to have surgery, now understands
13 okay, we have done everything possible to avoid
14 surgery, now I'll have the bone grafting or the
15 osteobiologic. Then we go through the choices of,
16 well, do you want to have one off the shelf or do
17 we take your own bone graft?
18 My personal experience is, I've had two
19 bone grafts, one in posterior spine that limited
20 me for about five years, the anterior one only
21 limited me for about 12 months. But nonetheless,
22 they were limiting, and the one that was done when
23 I was in my surgical career did slow me down. So
24 it is a huge problem for the patient individually.
25 And so, when we get to that nonunion

00203

1 that gets optimum management, we also like to be
2 able to add an external device to make sure we've
3 done everything we possibly can. If this building
4 were on fire and they sent one fire truck, I don't
5 think anyone in this room would be comfortable.

6 If they sent two or three, we might be a little
7 bit more comfortable in making our evacuation and
8 those with offices here would be comfortable with
9 it being safe.

10 Now these are anecdotal evidence
11 things, okay, and we are being asked to make a
12 judgment based upon the evidence before us. And
13 I'm just trying to ask our panel members to
14 understand that in the orthopedic field and in the
15 clinical practice realm, sometimes we don't have
16 pure perfect data to judge and move on from.

17 DR. AKLOG: This is probably along the
18 same line and I do agree with everything John just
19 said, but I do think as one of the couple of token
20 surgeons, this is a problem that exists in all
21 surgical specialties, and this came up in a
22 previous meeting that I was at. I think we
23 clearly acknowledge that there is a rising bar
24 with regard to what evidence we need for
25 interpreting the effectiveness of data, but -- and

00204

1 also that all surgical specialties get behind in
2 the adopting of good medicine, and I agree with
3 that.
4 But it's also important that we
5 acknowledge and sympathize and empathize with the
6 challenges of collecting good data in all surgical
7 subspecialties. There are some of the hurdles,
8 whether it's complete randomization, blinding, so
9 forth, this is a really difficult thing to
10 accomplish. As someone who has done clinical
11 trials in surgery, who has tried to recruit
12 patients, and also served on the Society of
13 Thoracic Surgeons workforce for evidence-based
14 medicine, we're trying to do this and it's not
15 easy to do. So the question is, this data will
16 always be questioned, it will always be fuzzy, we
17 will always have to incorporate imperfect clinical
18 data, clinical judgment, clinical expertise. This
19 is not to say that you can't, you know, require
20 better data, but just again, it's a lot more
21 difficult than when you have 10,000 patients who

22 receive drug A versus drug B.
23 The burden in my opinion, as long as
24 we've satisfied the burden with regard to safety
25 and are really quite confident that there really

00205

1 are no safety issues, the bar has to be somewhat
2 different with regard to effective, and not on the
3 ground, but it has to be somewhat different when
4 determining efficacy with surgical products,
5 especially if the clinical confidence like it
6 appears to be in this case is extremely high over
7 a relatively long period of time with a large
8 number of patients. So I empathize, I think there
9 was a subtle implication through some of the
10 comments that it was for lack of effort, either on
11 the company's part or on the orthopedic academic
12 community, a lack of effort to obtain this data,
13 and I don't think that's really a hundred percent
14 fair, because I've been on the same side as well,
15 but I do emphasize to some degree.

16 DR. MCNEIL: I'd like to interject one
17 thing here and then go to Barbara. I'd just like
18 to call your attention to the questions that we're
19 answering, just so that we're all on the same
20 page. So the questions will say how confident are
21 you in the data, they don't say how confident are
22 you in the evidence, they don't say how confident
23 are you in the evidence conditional upon the
24 ability of a particular field to do a good study.
25 Just so we all keep in mind the question that

00206

1 we're answering, and I totally understand the
2 points that you've made but the question is quite
3 specific, it is not conditional upon the ability
4 of a given specialty or group of doctors to do a
5 particular kind of study.
6 So let's move on. I have Barbara, I
7 have Kim, I have Bob, Deborah and Leslie. Anybody
8 else? Oh, and Mark, okay. Barbara.
9 DR. BOYAN: I would like to take us
10 back too, because I think that we're getting away

11 from the fact that there was a tremendous amount
12 of data that was presented to us very quickly.
13 There certainly, with some of the modalities that
14 we saw presented here, for instance the electrical
15 stimulation devices, there were many studies that
16 were done at a time in our scientific world when
17 using retrospective studies was permitted, when
18 using literature controls was permitted, but they
19 were done in the state of the art at that time and
20 they insured effectiveness and safety, so that
21 these products have been on the market for, some
22 of them as long as 25 years, and they have had
23 tremendous success in the eyes of the people who
24 use them. Many case studies have been presented
25 at peer reviewed scientific meetings and even to

00207

1 the point where the academy and NIH cosponsored a
2 workshop that was I think two years ago, Roy Aaron
3 actually cochaired that workshop at which the
4 scientific evidence was presented. And it was
5 felt by the people that attended that workshop,
6 about a third clinicians, a third engineers and a
7 third basic scientists, that they felt at the end
8 of the meeting that they were satisfied it was
9 safe as the art was at that time, and identified
10 future areas for research. So at least in the
11 orthopedic community, this is not a black box
12 technology, this is definitely a scientifically
13 based effective technology, and I don't want that
14 to go unstated in any way, shape or form, and
15 that's without any one specific particular
16 modality being identified.

17 DR. MCNEIL: I think we've heard that.

18 DR. BOYAN: Okay. The next thing I
19 want to say is about the biologics. There is an
20 equally large amount of research that has been
21 done on demineralized bone matrix. We focused on
22 the BMPs here but we really didn't talk about
23 another osteoinductive material and I think that
24 came out, I hope too, and I don't want that to be,
25 that there should be an understanding that that

00208

1 too is based in science and that too has clinical
2 studies that have been done, and certainly from
3 1965 to now, which is however many years, I think
4 40 years.

5 So these are technologies that are well
6 understood in the orthopedic world and are used
7 daily. Autologous bone graft, as agreed, is the
8 surgical control of choice and I don't think
9 anybody would argue with that. So I think there
10 is some stuff we can all say is, the quality of
11 the data is excellent.

12 DR. MCNEIL: Could I just interrupt?

13 DR. BOYAN: Yeah.

14 DR. MCNEIL: That is a conclusion, it
15 may very well be correct, and that is one of the
16 questions we will be voting on, so you will have
17 a --

18 DR. BOYAN: I was just voicing my
19 opinion.

20 DR. MCNEIL: So I think for now, let's
21 not answer the questions. I think we've all seen
22 the data and it's our opportunity to discuss the
23 results of the data with each other. I'd just as
24 soon keep the answers to the questions at the time
25 we answer the questions, if that's okay.

00209

1 DR. BOYAN: That's fine. I guess what
2 I would like to say in summary is I thought we saw
3 a lot of data, I think in the stuff we saw there
4 was a lot of information, but I don't think it was
5 exhaustive, it maybe didn't present the entirety
6 of the information in the field that's available.

7 DR. MCNEIL: Would it be fair to ask
8 the ECRI, is Karen still here?

9 DR. SCHOELLES: Yeah, but I'm going to
10 defer to Dave Schneider.

11 DR. SCHNEIDER: Dave Schneider. I
12 wrote the systematic review.

13 DR. MCNEIL: Could you come to the
14 microphone and identify yourself? I just wanted
15 to make sure you had a chance to rebuke the

16 assertion that the literature review may be
17 incomplete.
18 DR. SNIDER: I'm Dave Schneider, senior
19 research analyst at ECRI. I wrote the systematic
20 review portion of the report. I can assure you,
21 we found all the data with regard to demineralized
22 bone marrow use in nonunions. There are probably
23 others, and you made statements about fractures,
24 fresh fractures, but that was not part of our
25 report, that's a completely separate issue.

00210

1 DR. MCNEIL: Thank you. So, let's see.
2 Kim.
3 DR. BURCHIEL: My question really gets
4 to the work product and maybe if we don't run out
5 of time, maybe we can get to that right away. But
6 admitting that we've seen the data and we're going
7 to make a decision based on what we heard, and it
8 sounds like a pretty complete assessment, do we
9 want to talk about whether we want to fractionate
10 these questions a bit more, because I do submit
11 that we do fractionate some of these questions in
12 order to give reasonable answers.
13 DR. MCNEIL: That's a good point.
14 Let's put that as something we have to come to
15 terms with very shortly. So what I would like to
16 do is go to Bob, Deborah, Leslie and Mark, and
17 then if there are no further questions, start
18 answering the question that Kim just asked. So,
19 Bob.
20 DR. MCDONOUGH: I guess I have more of
21 a question on the questions too. I'm having some
22 difficulty understanding the questions and sort of
23 the distinctions that we're trying to get at, for
24 example question two versus question three,
25 question two and the validity of the scientific

00211

1 evidence, and also, some comment on the reason I
2 think there are probably good reasons for making
3 distinctions about validity of scientific evidence
4 by, on the basis of the available evidence

5 regarding each of the individual end points.
6 DR. MCNEIL: Why don't we hold that,
7 then, and wrap that up in the same discussion with
8 Kim's, would that be okay with you?
9 DR. MCDONOUGH: That's fine.
10 DR. MCNEIL: Okay, so Deborah.
11 DR. SHATIN: Just a couple of comments
12 and questions concerning the data that we've seen
13 today. We've heard and seen from the technology
14 assessment report that the definition of nonunion
15 can be questionable, and what it boils down to as
16 we've heard today is that physician judgment is
17 critical. And it seems that various technologies
18 are in our arsenal to treat patients, so I think
19 it's important to recognize the role of clinical
20 judgment here.
21 And related to that also, in terms of
22 the nonunion, the disability in terms of the
23 elderly patients is critical to think about, along
24 with the time that goes on in terms of atrophy,
25 things like that, I think that compounds it and I

00212

1 think we need to keep that in mind.
2 And finally, in terms of the questions,
3 we're not really, the way they're stated, we're
4 not comparing each therapy to the other therapy,
5 it's what is the evidence for these specific four
6 types of therapy.
7 DR. MCNEIL: Yes, I think we will
8 separate out the questions, but that is exactly
9 how they read now, Deborah, you're right. Leslie.
10 MS. FRIED: I've got a very similar
11 comment but I want to state it. I remember
12 reading in one of these -- I actually read these
13 studies, and there was a comment in one of them
14 and I wrote it down because it really struck me,
15 and the comment was whether the treatment
16 accelerates the time for healing and union such
17 that it would be a great benefit to the patient by
18 decreasing disability and functional loss and
19 other factors. And for me as I was reading the
20 evidence, that was really what came to mind, and

21 when I read about 60 or 70 percent heal rate and
22 saw that the control was less, to me, that was a
23 really good thing, because it meant at least for
24 those people that had that treatment, that was
25 very important. So it may not be the gold

00213

1 standard, but for older people who not being able
2 to walk means they are only home-bound, maybe
3 getting home health care services, whatever, but
4 it really impacts their day-to-day life because
5 they lose a lot of independence.
6 So, I do have a question and it's
7 really for some of the providers, and I don't know
8 if any of you are private practitioners, but I was
9 interested in the standards of care at this point
10 based on what Barbara was saying, or at other
11 times to some degree, maybe not so much. So my
12 question is, are these the standard of care and
13 are other insurers currently reimbursing? Because
14 if Medicare came out and didn't cover it, then you
15 would have a situation where people over 65 may
16 not be getting access to care that the rest of our
17 populations are.

18 DR. MCNEIL: We certainly have Aetna
19 here. Do you know, Bob?

20 DR. MCDONOUGH: Yes, we do cover these.

21 MS. FRIED: All of the technologies?

22 DR. MCDONOUGH: Yes.

23 DR. MCNEIL: Harry, do you have a
24 direct response?

25 DR. BURKE: No, I can wait.

00214

1 SPEAKER: Well, may I have a quick
2 response? If there is believable data that 70
3 percent are healing or 70 percent are not, that's
4 important. The question is, is that data
5 something you have a high confidence in, and the
6 fact is the design of these studies does not give
7 you a high confidence. These studies are maybe
8 supportive of it, and depending on how strict you
9 want to be in terms of the evidence you apply to

10 it, some people may say you have a low confidence
11 in it or a moderate confidence in it, but no one
12 would say they have a high confidence in this
13 data.

14 DR. MCNEIL: So I have Mark, is that
15 your question? Oh, it's the other Mark.

16 DR. FENDRICK: As some of you know, I
17 sometimes tend not to be sympathetic, but I will
18 say that MCAC from the inaugural formation of this
19 committee has been struggling with the difference
20 between drugs and devices and procedures, and from
21 the beginning there are several white papers. And
22 we understand, since Dr. Burke has started the
23 conversation, we know that there are huge
24 differences between pill A and pill B, and devices
25 and procedures depend on the time of day, whether

00215

1 you played golf that day well, the day before or
2 not, whether your kids are happy or sick, or
3 whether it's warm weather or not. We acknowledge
4 all those things and think it's very important
5 that we say that as we're trying to, I thought
6 there might have been some push back there for the
7 level of evidence for an orthopedic procedure or
8 any sort of procedure has to be the same as what's
9 currently going on in the FDA, as Steve mentioned.

10 But also, we're not mandating and
11 suggesting that randomized controlled
12 double-blinded trials be done for everything. It
13 is remarkable for me to hear, as I've seen over
14 the past decade, surgeons particularly being
15 defensive, and I don't want to make excuses for
16 not having the skills as providers, the training
17 of the fellows, all these things. But if you
18 wanted to do studies at every one of the
19 institutions, there are very willing people who
20 will sit down with you and help you get there.
21 You may say that the funding may or may not be
22 available, but those are issues whether you're
23 going to the Feds or not.

24 But I will tell you that we have
25 learned, as Dr. Sullivan and others, we've learned

00216

1 from volume reduction surgery, we've learned from
2 CABG, we've learned from arthroscopic procedures,
3 we've learned every time we've gone through this,
4 we have been able to do, not randomized trials in
5 every case, but trials that have controls.
6 If the effect size is so great of the
7 anecdotes that we heard from the real doctor or
8 from the example from Alabama, the trials could be
9 small, the trials could be controlled by their own
10 patients, and they would be very inexpensive in my
11 opinion, particularly if we believe, as I do, that
12 nonunions do not heal, that the true definition of
13 a nonunion is -- I would accept a study of having
14 someone see you for three more months and then get
15 whatever treatment you want, and they go back to
16 work saying that they are, have a higher quality
17 of life. And if there was P value, sir, after
18 your conclusory statement that your patients are
19 happier compared to what they did if you did
20 nothing, I think most of us on the methodologic
21 side would be very happy and would not require
22 this study that in many of your minds is a
23 thousand-patient three-year study costing
24 \$500 million. I certainly do not think this is
25 the case.

00217

1 The last thing, very quickly, is that
2 if you go back to the questions we will be voting
3 on, to give you some positives, we are asked
4 questions about validity of data but then we are
5 asked questions about this trichotomy of the
6 likelihood that all the end results that you tell
7 us would actually be played out if the study would
8 be done.
9 And then the last point, as Dr. Berger
10 mentioned, it's all about confidence here. I
11 think some of us have different opinions, but I
12 don't think there's a right or wrong about where
13 we all sit on whether it's anecdotal or the case
14 series or the case controlled or randomized

15 controlled trial matters, it's a matter of
16 confidence, and we as panelists may differ on the
17 exact same data. No excuses anymore, I think the
18 trials can be done, and they don't always have to
19 be at the highest level.

20 DR. MCNEIL: Thank you very much, Mark.
21 I would like to make this suggestion. Harry and
22 John had their hands raised speak, and then if
23 there is another quick question, we'll take it.
24 Otherwise, I would suggest that we take a
25 five-minute break and then come back and wrestle

00218

1 with the questions, what exactly it is that we're
2 answering. If we come to terms with that, then
3 I'm sure that might generate some more internal
4 discussion. So I would like to focus on the end
5 product very soon so we can focus on the subject
6 matter. So Harry, do you have a quick one, and
7 then John.

8 DR. BURKE: I was struck by the paucity
9 of the evidence and by the technology assessment,
10 and the fervor of the people actually doing it.
11 It actually seems like something like a dichotomy.
12 Also, I'm usually at Mark's side, but he's being
13 very nice today. I do like to have randomized
14 prospective clinical trials and, you know, you
15 don't have to have a placebo group but you really
16 do have to have something.

17 Also, I'm not sure this whole area is
18 well thought through. In other words, this whole
19 idea of who's at risk, it's not clear to me that
20 you know. Secondly, what are the indications for
21 treatment, it's not clear that we know that. What
22 are the appropriate treatments for a particular
23 subgroup of patients, smokers, not smokers,
24 whatever, it's not clear to me that we know that.
25 And the outcomes, it's not clear to me that we

00219

1 even know what outcomes we're talking about. So I
2 think this is a terribly difficult area to judge,
3 because there is so little good information to

4 help us.

5 DR. MCNEIL: Thank you, Harry. So

6 John, and then Sean, and then a break.

7 DR. KIRKPATRICK: I just wanted to

8 answer that Blue Cross Blue Shield of Alabama,

9 which covers about 85 percent of the lives down

10 there that are covered, does reimburse it for the

11 population that we see at my center, which is the

12 University of Alabama. The University of Alabama

13 also has included it in a charity program in

14 conjunction with the company; in other words, they

15 will cover the care that we're doing to prescribe

16 it and the company basically provides the device

17 for free for a number of the patients that are

18 meeting appropriate charity criteria. So

19 apparently there's enough data there to make the

20 company take some risks as well as for our

21 foundation to take some risks.

22 MS. FRIED: Is that for all the

23 technologies?

24 DR. KIRKPATRICK: No, that's just for

25 the external devices, and I can't comment on Blue

00220

1 Cross Blue Shield nationally, that's only Alabama.

2 DR. SULLIVAN: Barbara, I had just two

3 quick technical questions about the questions

4 we're about to address when we get back from

5 break.

6 DR. MCNEIL: Do you want to ask them

7 now?

8 DR. SULLIVAN: Yeah, I do, and

9 hopefully you have an answer, or someone does,

10 maybe Steve or whoever. For some of the questions

11 where I may want to answer there is no evidence,

12 there's no place that say no evidence. For

13 example, question number three which asks, how

14 likely is it the following treatments for nonunion

15 fractures, blah, blah, blah, will affect the

16 outcomes, and there's morbidity and then the four

17 different -- what I'm saying is that not likely is

18 different than no evidence, so what do we do

19 there? Sorry, Steve.

20 DR. PHURROUGH: This is a bit of a
21 problem with the way the questions run; generally
22 we expect there to be some evidence. The
23 questions are, one, is there any evidence; two,
24 how good is the evidence; three, is there an
25 effect of the evidence. So if you answer no on

00221

1 one, then there's no answer to the rest of the
2 questions.

3 DR. SULLIVAN: So we shouldn't vote
4 then?

5 DR. PHURROUGH: That is not a route
6 that this panel has ever chosen to take, but you
7 could, absolutely.

8 DR. SULLIVAN: At the last meeting I
9 had the same issue and I kind of fudged it.
10 So the second question, the use of the
11 term net health outcomes, when I think of net
12 health outcomes I think of the difference between
13 one thing and another, some comparative
14 effectiveness. Am I thinking of that the way you
15 intended it?

16 DR. PHURROUGH: We always used the
17 risk/benefit ratio, do the benefits of the
18 particular technology outweigh the risks of a
19 particular service that's being provided.

20 DR. SULLIVAN: Thanks for that.

21 DR. MCNEIL: Deborah?

22 DR. SHATIN: I have a question related
23 to the data for other panel members who would like
24 to answer, which is for the technology assessment
25 report, almost each of the therapy results were,

00222

1 you know, 80 percent healed after a period of
2 time, but the, it included also stabilization
3 techniques, stating it as if that were a negative
4 aspect of the study. So the question is, should
5 we assume that the therapy could automatically
6 require whatever stabilization technique might be
7 suggested by the surgeon?

8 DR. MCNEIL: Let's hold that until we

9 address the question, because that would clarify
10 the nature of the question, what is the
11 comparator, really, or what is the base of the
12 technology itself, either way. Okay. Ten
13 minutes.
14 (Recess.)
15 DR. MCNEIL: I guess we're all here.
16 What I would like to do now is clarify the nature
17 of the questions that we are answering, and so far
18 I have heard several perturbations that we might
19 consider. One relates to a refinement of the
20 nature of the devices or the biologics. Another
21 relates to which bones are involved. Another
22 relates to which patients are involved. Is there
23 something else?
24 DR. AKLOG: One other one would be the
25 type of nonunion. It seems like there's a general

00223

1 agreement that the hypertrophics are not really,
2 that none of these treatments would be capable, so
3 would it be reasonable to qualify all of these to
4 say atrophic nonunions, or is that obvious?
5 DR. MCNEIL: To me, nothing is obvious
6 at this point.
7 DR. KIRKPATRICK: I think going to the
8 different types of nonunions would confound many
9 of our votes, because I think they were all
10 grouped together.
11 DR. AKLOG: But compared to
12 hypertrophics, is that a problem?
13 DR. KIRKPATRICK: I think what I'm
14 telling you is that we are being asked to analyze
15 the data that we were presented and that we have
16 in our packet to review, and I don't think we can
17 separate out those three categories of nonunions.
18 DR. MCNEIL: Fair enough?
19 DR. KIRKPATRICK: Did you mention
20 separating the different biologics?
21 DR. MCNEIL: I did.
22 DR. KIRKPATRICK: Okay, thanks.
23 DR. MCNEIL: Let's start with the
24 technologies. Right now, the technologies are as

25 you see them in questions one through the end.

00224

1 Ultrasound strikes me as being ultrasound; is that
2 correct?

3 DR. BURKE: We like that, good start.

4 DR. MCNEIL: Internal electrical
5 stimulation, do we like electrical stimulation, is
6 that okay?

7 (Panel agreeing.)

8 DR. MCNEIL: How about external
9 electrical stimulation, is that okay?

10 SPEAKER: I think we should fractionate
11 that into pulse DMF and capacitance coupling,
12 because we had really two separate lines of
13 critique or reading for those.

14 DR. MCNEIL: Well, they were certainly
15 discussed separately. Do we agree on that?

16 DR. BURKE: Are these being rewritten?

17 DR. MCNEIL: We're going to do
18 something, it will be afterwards, but we're
19 working on some kind of visual aid.

20 DR. AKLOG: Can we go back to internal
21 for a second? Does that include adjunct to
22 surgery? For the internal, are all those by open
23 procedure or do these include a puncture?

24 DR. KIRKPATRICK: It's generally not a
25 puncture, I would be open to the manufacturer

00225

1 representative commenting, but it's generally not
2 just a puncture, we do the surgery and implant the
3 coils into the nonunion area.

4 DR. AKLOG: So it's an open procedure?

5 DR. KIRKPATRICK: Right.

6 DR. MCNEIL: Okay. So far we have
7 ultrasound, internal, and have fractionated the
8 external into the capacity and the pulse. Now the
9 orthobiologics strike me as coming in three
10 different ways; is that right? Is it more than
11 three? So it's the DBM, the BMP-2 and the BMP-7,
12 also known as OP-1. Is that correct?

13 DR. KIRKPATRICK: I don't want you to

14 separate it, but making our ability to vote
15 different things different ways. I only saw good
16 data or adequate data on one demineralized bone
17 matrix, which I believe was the Grafton product.
18 There wasn't a lot of information on the countless
19 other DBMs that are out there.

20 DR. MCNEIL: So what is your
21 recommendation?

22 DR. KIRKPATRICK: So if you want to
23 just comment on that one DBM, I don't think we can
24 comment on others, or we'd have to downgrade the
25 whole group.

00226

1 DR. MCNEIL: I see. So you want us to
2 just do the Grafton DBM?

3 DR. KIRKPATRICK: I think if you
4 separated out Grafton, it would be a little bit
5 more reasonable, because there's about 20 on the
6 market, there was data for three that I remember
7 seeing, so including it with a group is very
8 complicated, because some DBMs are processed
9 differently, some of them have been alleged to
10 leave some of their purification products in a
11 mildly toxic formation, things like that. There's
12 some that state that the amount of different bone
13 morphogenic proteins in those DBMs varies based
14 upon the different suppliers. And there's also
15 differences in where the grafts, the donors come
16 from, so it's a very complex issue to group all
17 DBMs as one thing.

18 DR. MCNEIL: Lishan.

19 DR. AKLOG: If they're that specific
20 for one manufacturer-specific category, is that
21 true for the others, and the other ultrasound, you
22 know, we've been talking about ultrasound that
23 comes from one company, and if we're getting
24 specific as to one category, is it reasonable to
25 do it for just that one category as opposed to

00227

1 others?

2 DR. SHATIN: Also, if we have specific

3 categories, what does it mean for the other
4 companies in terms of what we're doing here?

5 DR. MCNEIL: I'm sorry, I didn't hear
6 what you just said.

7 DR. SHATIN: So what does it mean if
8 we're saying here just for that one particular
9 product, what is it saying for that particular
10 therapy?

11 DR. KIRKPATRICK: I guess what I'm
12 saying is if you ask me to give you a vote of the
13 data regarding Grafton, my answer might be one
14 thing. If you ask me about all DBS, my answer is
15 going to be very much lower, because if I look at
16 the numerator, it's huge with DBMs, whereas with
17 Grafton, I have a reasonable understanding.

18 DR. AKLOG: Is that true for the other
19 modalities such as ultrasound?

20 DR. KIRKPATRICK: I don't know of
21 another ultrasound manufacturer. I would assume
22 that they would be measured by the production of
23 the effective pulse, which is not being measured
24 in DBS, in other words, we don't know what the
25 actual individual effect of DBS is, we know that

00228

1 one company has a reasonable clinical trial with
2 it, but we don't know that the others have the
3 same active element in their DBS.

4 DR. MCNEIL: So I have Kim, Karen and
5 Harry, and I want to be sure we're all on point on
6 this. Yes, Karen.

7 DR. SCHOELLES: I just want to say that
8 in the TA it's not the Grafton product that we
9 found the study on, we found the allomatrix
10 injectable, the injectable putty. We did not find
11 studies for nonunion for the Grafton product. We
12 understood that studies presented were for bone
13 voids and that it was a gap filler, which
14 according to our orthopedic consultants are not
15 the same.

16 DR. MCNEIL: Let me make sure I
17 understand this. I don't understand it, actually.
18 (Inaudible colloquy.)

19 DR. MCNEIL: Are you thinking of
20 something else?
21 DR. KIRKPATRICK: Basically, the data
22 I'm familiar with on Grafton was from segmental
23 defect bone, and I understand her making the
24 difference and I do need to keep that in mind. We
25 don't really have good data on any of them as I

00229

1 understand it for a nonunion bone.
2 DR. SCHOELLES: Except the allomatrix
3 putty.
4 DR. MCNEIL: I thought we did have data
5 on that, but the judgment is about the decentness
6 of it. So when we're fractionating the
7 orthobiologics, we're going to do the putty as
8 one, is that correct?
9 SPEAKER: I would suggest we don't do
10 it by company, because that's a very dangerous and
11 slippery slope.
12 DR. MCNEIL: Okay. What would you
13 propose?
14 SPEAKER: I'm not sure the data is that
15 good for anything, but if we start doing it
16 company by company, we're going to be here for
17 weeks.
18 DR. MCNEIL: So how would you do it
19 then?
20 SPEAKER: Just leave it. With all due
21 respect, I would leave it just as DBM. We have to
22 take the data as we find it.
23 DR. BOYAN: I actually support that.
24 I'm willing to hear from the orthopod side of the
25 table, but I think it's going to be very

00230

1 complicated to try to understand all of this and
2 in fact we haven't clarified the kind of injection
3 that Dr. Dickson said, where we leave some
4 nonunion tissue there and add some DBM product,
5 versus leaving the hole void by doing a resection
6 of the nonunion and in effect using it as a bone
7 void filler, so I think we should just leave it as

8 a generic.

9 DR. MCNEIL: Is there a consensus for
10 that? I don't mean to cut you off but we have
11 just so much to do, once we've made a decision,
12 I'd like to just move on it. Is there a consensus
13 on DBM as a generic? All right. So just before I
14 take the other individuals, I just want to make
15 sure. We didn't finish on BMP-2 and 7. Do we
16 have comments on that or do we agree that those
17 are separate? Alex.

18 DR. OMMAYA: I would support BMP-2 and
19 BMP-7.

20 SPEAKER: I would say for the
21 orthobiologics, if you look at the tech
22 assessment, there are only four assessments in
23 there, so if we try to break it up into three
24 categories or two categories, it's going to be
25 very difficult. I would recommend that we keep it

00231

1 as one category.

2 DR. MCNEIL: But they're biologically
3 very different is what I'm hearing.

4 DR. OMMAYA: That may be true, there is
5 no evidence to make a decision between the groups.

6 SPEAKER: We're grading the evidence
7 and if there's only four studies encompassing all
8 of them, no matter how you divide it up, the
9 evidence is poor.

10 DR. SCHOELLES: And we don't have an
11 included study of BMP-2 given our inclusion
12 criteria. The tech assessment does not include a
13 study of BMP-2.

14 DR. BURCHIEL: Could I comment on that,
15 because I think that's the danger, if we have one
16 where there is a reasonable study, not fabulous
17 but reasonable, we will damage everything by the
18 lowest level of evidence. That's my concern.

19 DR. SCHOELLES: I assume the committee
20 is free to make their decision based on
21 unpublished evidence or evidence presented in
22 papers at meetings, but I'm just saying in the
23 technology assessment given our inclusion

24 criteria.

25 DR. MCNEIL: Well, Steve just said it's

00232

1 our call in terms of what data we include. We
2 heard a presentation of BMP-2.

3 (Inaudible colloquy.)

4 DR. BURKE: We could separate it out
5 and recognize that in some of the subcategories
6 there is no evidence, and some others do have
7 evidence.

8 DR. MCNEIL: That would be the danger.

9 DR. AKLOG: Why don't we keep them as
10 distinct categories of therapy as opposed to
11 proprietary products?

12 DR. MCNEIL: What I've got, then, is
13 DBM is one, BMP-7 is two, and BMP-2 is three. Is
14 that correct, is that the spirit of this? Okay.
15 So now what we've done for the first
16 four is redefined for all of the questions the
17 technology, so I'm going to repeat them. We've
18 got ultrasound, we have internal electrical
19 stimulation, we have capacity external
20 stimulation, we have pulse electrical stimulation,
21 we have DBM, we have BMP-7, and we have BMP-2.
22 Are we okay with that? So that takes care of the
23 technologies.
24 We agreed that the type of nonunion,
25 everything is as it was presented, so we're not

00233

1 going to try to deal with that.
2 We then raised the issue about is a
3 bone a bone, and we have the question at the end,
4 number 7, which is 7.A. Most of the data that was
5 presented involved tibial fractures, although
6 there was certainly some other long bones
7 presented, but so the question is, do we, what is
8 our inference in answering the questions when we
9 say nonunion fractures?

10 DR. FENDRICK: Can I ask a question,
11 and I want to turn to the end of the table again.
12 I believe Dr. Jones when he says that the tibia

13 issue is probably the most difficult, which is
14 unusual for this panel, because sometimes
15 investigators will choose the easiest way to show
16 that a therapy works. But if our orthopedic
17 colleagues agree, or could at least comment to me
18 briefly if they agree with the idea that if it
19 works in a tibia nonunion, it's likely to work in
20 other nonunions, a quick answer could be very
21 helpful to me.

22 DR. KIRKPATRICK: The tibia is the
23 worst case scenario but it doesn't mean it would
24 work in every other bone.

25 DR. FENDRICK: Would it be reasonable

00234

1 to extrapolate what was found in the tibia
2 nonunion to other nonunions in the non-tibia?
3 Since I have no idea, I'm asking the panel at
4 least to give me -- we heard it from the
5 presenters, but I want to get at least some
6 internal validity. I see some nods, so, I'm not
7 saying it's definitive, but is it reasonable?

8 DR. BOYAN: I think it's reasonable.
9 You have to start somewhere.

10 DR. KOVAN: I don't know. I mean, my
11 understanding is that some things work better in
12 the tibia than the humerus, so I don't know that I
13 agree with that statement.

14 DR. MCNEIL: Okay, so we don't know.
15 So let me ask the question again. How should
16 Steve and his group interpret our answer about
17 nonunion fractures? Because I could imagine that
18 just the way we were talking about difference in
19 technologies, we could get to the lowest level of
20 evidence, we could maybe reduce the value of
21 things by mixing everything together, when in fact
22 the data for tibial fractures may be much more
23 compelling than they are for, say, scaphoid
24 fractures, and we wouldn't want to, I don't think,
25 downgrade all fractures when in fact most of the

00235

1 data that we've looked at involved tibial

2 fractures.
3 DR. BURKE: We have to be limited by
4 the data that's presented, so since this data
5 focused predominantly on one type of fracture, how
6 can we generalize that to all?

7 DR. MCNEIL: Let's pause for a minute
8 and just read the question. If you read the
9 question, it says in the treatment of nonunion
10 fractures, so if I were a lawyer interpreting the
11 answer to that, I would say that nonunion
12 fractures could include a fracture of anything. I
13 just want to confirm that that's what we mean to
14 include when we answer that question.

15 DR. KIRKPATRICK: Barbara, if I may, it
16 might be reasonable to get the answers of the
17 grading of the evidence first and then ask when,
18 if for example there's generalizability to
19 different fracture types. Just guessing, if that
20 is really really low, then there might be some
21 comment about what we think versus what we know
22 that will be of help to CMS.

23 DR. AKLOG: I don't think it's all that
24 troubling. I think from most of these studies,
25 one or two fractures were dominant, and I think

00236

1 what CMS would have heard is that we're weighing
2 it based on the distribution of these fractures,
3 and then we have an out in 7.A to say that for
4 types for which there were no clinical studies,
5 that that argues the generalizability issue.

6 DR. PHURROUGH: We would be comfortable
7 with your answering the questions based on the
8 data as a whole, which includes all bones, even
9 though it may be heavily weighted toward one bone,
10 with a comment as we have our comments, that says
11 we're uncomfortable that you can extrapolate this
12 beyond tibia.

13 DR. MCNEIL: So then, the derivative
14 question is, are there any fracture types of any
15 prevalence that are not included in one or the
16 other studies that we looked at that would make
17 Question 7.A moot if we answer it in the way we

18 just described? I mean, if we answer it in the
19 way we just said, then we're covering everything
20 in the first two questions and there is no such
21 thing as 7.A.
22 DR. AKLOG: Maybe we could modify it a
23 little bit and say for which there is little.
24 DR. BERGER: I think one thing, these
25 are long bone fractures that we're talking about,

00237

1 and there are spine fractures that are a very
2 common problem and one that is not addressed by
3 the data.
4 DR. MCNEIL: Okay. So that would be
5 the cause for 7.A. Okay, just to repeat, when we
6 answer 2, we're answering it considering all of
7 the studies that were presented, mostly long
8 bones, but there were a few scaphoids in there,
9 there were no spinal, so that when we come to
10 answer Question 7, we are largely thinking of
11 things like spinal fractures and maybe there are
12 some others that I can't think of offhand. Is
13 that fair? Everybody agree with that? Okay.
14 Okay, that takes care of the bones and devices.
15 What else would anybody like to query
16 in terms of these questions? Lishan.
17 DR. AKLOG: With regard to, because it
18 comes up several times referring to net benefits,
19 Steve had mentioned that was a risk-to-benefit
20 ratio, and I just want to make sure that we're
21 implying that if the risk is low that benefit
22 could be -- for therapies where the risk was low,
23 we could find that net benefits are relatively low
24 as well.
25 DR. PHURROUGH: If the numerator is

00238

1 low, even if the denominator is extremely low, the
2 net benefit is still low. If you have a low
3 benefit, you can't have a high ratio regardless of
4 what the risk is.
5 DR. AKLOG: But if it's a moderate
6 benefit with a low risk --

7 DR. BURKE: If you subtract harms from
8 the benefits, net benefits, that's your max,
9 that's it.

10 DR. MCNEIL: Now, are we confirming
11 with this, as I'm hearing this, that we're not
12 making any comparison with another standard of
13 care?

14 DR. BERGER: Well, Question 8 really
15 mixes things up, because it begins to compare and
16 we don't have comparative evidence at all, so it
17 does tend to blur the distinction, I think.

18 DR. MCNEIL: I'm a little confused
19 about how to answer these questions about net
20 benefit. I understand the definition of net
21 benefit, but what I don't understand is whether
22 we're comparing it against something else as the
23 randomized clinical trials did.

24 DR. BURKE: As compared to not having
25 the treatment.

00239

1 DR. MCNEIL: The reason I'm asking is,
2 we did make a big deal about what the comparative
3 group was this morning, quite a big deal actually,
4 and now we're saying we wasted 20 minutes of time
5 discussing that; is that right?

6 DR. BURKE: Well, it's either to the
7 gold standard or it's to not having treatment, and
8 I think we just have to pick one.

9 DR. PHURROUGH: You are comparing
10 adding, for the first item, ultrasound to a
11 treatment group who had been treated identically
12 as if you didn't apply the ultrasound. And our
13 expectation when we put these questions together
14 was that you were comparing this to a gold
15 standard of surgical intervention, and in some
16 cases that surgical intervention was there whether
17 it was rodding, plating, or bone grafting,
18 whatever was appropriate for the patient, and if
19 you add ultrasound to that, is there a net health
20 benefit available from that treatment?

21 DR. MCNEIL: So we're subtracting out
22 the benefit.

23 DR. AKLOG: That can't be true for
24 noninvasive therapies, because the noninvasive
25 therapies, the proposal was an alternative to

00240

1 surgery.
2 MS. FRIED: Exactly.
3 DR. PHURROUGH: That is where you get
4 down to Question 5. Question 5, if you don't have
5 surgery first, so 1 through 4, you're saying you
6 had your surgical treatment and you have applied
7 ultrasound to it post-op, you have applied
8 internal electrical stimulation as part of your
9 surgery, you have applied external capacitance or
10 PEMF post surgery, or you have applied within
11 surgery the orthobiologic.
12 DR. KIRKPATRICK: You have just changed
13 everything.
14 DR. PHURROUGH: Those are the way the
15 questions were drafted.
16 DR. KIRKPATRICK: It that's the way the
17 questions were drafted, it should be for questions
18 1 through 4, surgery is performed for a nonunion.
19 In addition, do you think the scientific evidence
20 supports ultrasound, internal electric
21 stimulation, external electric or orthobiologics
22 in conjunction with that?
23 DR. PHURROUGH: Yes.
24 DR. KIRKPATRICK: That's what you want
25 to ask, okay. Because the whole discussion today

00241

1 seemed to be geared around the progression of
2 treatment that I talked about where you might try
3 the ultrasound alone at four months when you have
4 no radiographic data of progression to union.
5 DR. PHURROUGH: And that is what we
6 were attempting to get to with Question 5.
7 DR. BURKE: It's almost like Question 5
8 is unnecessary, and I think it has to do with the
9 heterogeneity of the field and understanding how
10 these therapies work together, that we're just
11 realizing that in questions, in other words, the

12 questions are bringing out the problems in the
13 field.

14 DR. AKLOG: I thought there were just
15 noninvasive therapies that were being judged as
16 sole therapies, and the invasive therapies were
17 being judged as adjunct to open surgery. If
18 that's not the case, then I have to sort of
19 rethink here.

20 DR. MCNEIL: We are assuming that
21 surgery was performed on day one for the acute and
22 then three months later, boom, it's not a union,
23 just to clarify what Steve said, so there's
24 surgery three months later, nonunion, and at that
25 point the issue could be is there another surgery

00242

1 on top of what's been performed, or are these
2 performed without any surgery?

3 DR. KIRKPATRICK: That's what we're
4 clarifying. Many times in the treatment of a
5 fracture, you don't do surgery at the beginning.
6 So what we need to say in my opinion, and Steve
7 agrees with this, we have an established nonunion,
8 quote-unquote, three to six months, whatever you
9 want to call it, for Questions 1 through 4, okay?
10 On Question 1, for example, if you do internal
11 electric stimulation or you do an orthobiologic,
12 you're doing surgery. If you're doing ultrasound
13 or external, you may or may not be doing surgery.
14 The data that's presented showed mostly
15 nonsurgical for external and ultrasound at that
16 time point. And we could also ask the question,
17 would it help if you had surgery in addition to
18 that, but you know, that's what's making the water
19 muddy, so I think we need to go back and say we
20 have an established nonunion, and your treatment
21 is one through four.

22 DR. BOYAN: To define it a little bit
23 further, the surgeon has determined that there is
24 going to be a nonunion or that there is a
25 nonunion, or in his or her best judgment, this is

00243

1 going to heal.
2 DR. BERGER: So after we tried to do a
3 union, you found you have to operate, okay?
4 DR. KIRKPATRICK: No, you have to
5 intervene.
6 DR. BERGER: I'm just talking about the
7 last spot, talking about biologics. The surgeon
8 decides I'm going to operate, okay, and so what
9 really the question we want to answer is, if I
10 operate and if I go in there and put a new peg in,
11 put an internal fixation in, I can put an external
12 fixation in, I can do a whole bunch of things, and
13 I can use an orthobiologic. And what we're
14 interested in knowing in that case is, what was
15 the incremental value of the orthobiologic
16 separate from your having gone in and done the
17 surgery. Because what we don't have, we don't
18 have a comparison group that's going to do the
19 surgery but doesn't put in the orthobiologic, but
20 that's what we're trying to impute based on the
21 studies where they compared it to the autologous
22 or whatever they compared it to. But the question
23 for this question is not whether it compares to
24 autologous, it's whether it compares to if you did
25 the surgery and you just didn't put the

00244

1 orthobiologic in.
2 DR. MCNEIL: So could we answer, just
3 to be clear, we have a nonunion and that point we
4 either operate or non-operate, and at the end of
5 that period, there is a chance or decision node at
6 which we can do one of several things. So if you
7 operate -- oh, Mark has it.
8 DR. FENDRICK: It was said earlier.
9 The decision to operate or not should be made very
10 clear about how we note on each of the
11 technologies. But I have to disagree with
12 Dr. Berger that sometimes the decision to operate,
13 they may only use the orthobiologic, and one
14 design might be the noninvasive versus the double
15 whammy, so unfortunately, it's not as clear as you
16 say.

17 DR. BERGER: That's right, but in most
18 cases they don't go in and do one thing.
19 DR. MCNEIL: So Mark, why don't you
20 describe your decision tree then?
21 DR. FENDRICK: This is a decision
22 between the surgeon and the patient whether
23 they're going to be invasive or not. If they are
24 not going to the OR then you make a decision among
25 what I would call these adjunct noninvasive

00245

1 therapies, the external electrical stimulation as
2 well as ultrasound. The OR would be grafting,
3 biologics, or which I would like to say, you fix
4 them with nothing else, and then there is the
5 internal electrical intervention. And then of
6 course on top of that, as we heard from the real
7 doctor who left, you might actually after surgery
8 add ultrasound or external electrical stim, so I
9 suggest we should look at the combinations.
10 DR. MCNEIL: Well, we don't write these
11 questions. We don't have to keep them, the stems
12 exactly as they are. We, for example, could make
13 the second -- let's skip the first question for a
14 minute. We could make the second question, how
15 confident are you in the validity of the
16 scientific evidence for the biophysical
17 enhancement in nonunion treatment with surgery as
18 the primary modality, as augmented by autologous
19 graft, biologic A, B or C, or internal electrical
20 stimulation.
21 DR. KIRKPATRICK: Again, Barbara, we're
22 getting off what was presented. You can't comment
23 on what ultrasound did after surgery, so I think
24 you just leave it the way it is, modifying the
25 understanding of what Steve said.

00246

1 DR. MCNEIL: I didn't have ultrasound
2 in there, did I?
3 DR. KIRKPATRICK: Okay. I thought you
4 were just repeating Question 2.
5 DR. MCNEIL: No, I wasn't. Let me

6 reread the McNeil potential question. How
7 confident are you in the validity of the
8 scientific evidence for biophysical enhancement in
9 nonunion treatment, treated primarily in nonunion
10 greater than three months, treated with surgery
11 followed by or in conjunction with a graft, an
12 autologous graft, a biologic of type A, B or C, or
13 internal electrical stimulation.

14 DR. KIRKPATRICK: I would submit it
15 would be unfair to ask about autologous grafting
16 because that evidence wasn't presented.

17 DR. MCNEIL: Okay, so get rid of it.

18 DR. KIRKPATRICK: That's okay.

19 DR. AKLOG: Wouldn't it be okay if we
20 just left it alone and acknowledged that for the
21 invasive therapies, they are by definition
22 adjuncts to surgical therapies?

23 DR. KIRKPATRICK: I agree with that.

24 DR. BURKE: Why don't we just for
25 surgical therapies, just recognize the surgery

00247

1 that preceded that therapy for the question, but
2 for nonsurgical therapies, recognize that surgery
3 did not precede.

4 (Inaudible colloquy.)

5 DR. KIRKPATRICK: Only one study had
6 bone grafting.

7 DR. FENDRICK: There's only four RCTs
8 in the whole field, and you want to throw one of
9 them out?

10 DR. KIRKPATRICK: But you're also
11 throwing out a huge volume of non-RCT data on bone
12 grafting effectiveness.

13 DR. FENDRICK: I'd take one RCT over a
14 thousand observational studies. I think the
15 Friedlaender study really stands out in this
16 whole, and I suggest that we reconsider that.

17 DR. BURKE: I think that just
18 recognizing which therapies are preceded by
19 surgery and which are not are adequately --

20 MS. FRIED: Don't leave ultrasound out
21 because it is preceded by surgery in a prospective

22 series cited in the TA, or it can be.
23 DR. MCNEIL: Didn't John just say it
24 couldn't? Why did you say it couldn't?
25 DR. KIRKPATRICK: It's not only used

00248

1 after surgery. I agree with those that are saying
2 leave it alone, and understand that the internal
3 electrical stimulation and the osteobiologics are
4 with surgery.
5 DR. MCNEIL: So does that mean for
6 Question 2, and 1, external electrical stimulation
7 is not applicable?
8 DR. BURKE: It's applicable but doesn't
9 require surgery.
10 (Inaudible colloquy.)
11 DR. BURKE: Because each modality is
12 either associated with surgery or it isn't, and
13 we're just going to recognize the association.
14 MS. FRIED: Because with the ultrasound
15 and the external, you can have surgery but you
16 don't have to.
17 DR. BURKE: We can parse that in 2 and
18 move on. At 2 we can talk about whether we want
19 to vote on it for with surgery and without
20 surgery.
21 DR. AKLOG: In clinical practice,
22 ultrasound and external stimulation is primarily
23 adjunct therapy even though chronologically it may
24 also precede surgery, isn't it?
25 DR. KIRKPATRICK: In my experience,

00249

1 most of the time the external modalities are
2 applied before trying surgical interventions.
3 DR. BOYAN: Actually, Question 5
4 addresses that.
5 DR. MCNEIL: Well, let me ask Steve, is
6 Question 5 relevant if we have made this implicit
7 judgment in Question 2 about whether surgery is --
8 I mean, if we assume --
9 DR. PHURROUGH: Let me throw out, one
10 of the difficulties is, we take these

11 recommendations that you make to help make payment
12 decisions, and so based upon the format you just
13 threw out, we should never pay for ultrasound or
14 external electrical stimulation after surgery,
15 because it's only used before.

16 DR. BURKE: We recognize that sometimes
17 it's used before surgery and sometimes it's used
18 before surgery, but both of those are separate
19 issues to the relevant questions.

20 DR. FENDRICK: It's like Question 5 the
21 way it's written. Why would you have internal
22 stimulation or orthobiologic if there was no
23 surgery, if there wasn't any surgery? Question 5
24 should be, what do you do if you don't go to the
25 OR?

00250

1 DR. BURKE: And we're going to answer
2 that by binarizing some of the questions earlier,
3 we're going to answer that.

4 SPEAKER: I'm still confused. You
5 can't have interval interventions for a question
6 that says there's no surgery.

7 MR. MCNEIL: So 5 has to get rid of
8 internal stimulation and orthobiologics, by
9 definition.

10 DR. BURKE: Right. We're going to
11 answer 5 in 1 through 4, and parsing it to with
12 and without surgery.

13 DR. PHURROUGH: Let me finalize it,
14 these are our questions so let me finalize it.
15 Questions 1 through 4 are asking about these
16 technologies applied during or after surgery, all
17 of them, including ultrasound, including external
18 electrical, applied during -- hang on a minute.

19 Let me finish. Question 5 asks the question only
20 of ultrasound and external electrical applied
21 before surgery. So then we're getting the
22 ultrasound and external before and after surgery.
23 Okay?

24 DR. FENDRICK: So the noninvasive ones
25 -- I mean, the invasive ones are obvious, because

00251

1 they are adjunct to the surgery, you are literally
2 in the OR at the time of surgery.

3 DR. PHURROUGH: We pay for it the day
4 they go home from surgery.

5 DR. AKLOG: But what if they do it
6 three months later, is that considered?

7 DR. PHURROUGH: We pay for that also,
8 but we could parse this into 28 different things.
9 The questions you will answer, 1 through 4, all
10 applications following surgery; 5, only the
11 externals, not following surgery, okay? So I will
12 get rid of the orthobiologics and the internal on
13 Question 5.

14 DR. MCNEIL: So, let me just regroup
15 here. Where are we on -- do we like the outcomes
16 on the left-hand side of Questions 2 and 3?

17 DR. KIRKPATRICK: I just want to make
18 sure I understand what Steve's telling me. I need
19 to be thinking, instead of as a surgeon, just
20 analyzing data, because as a surgeon I would
21 normally try nonoperative treatment first, but to
22 make up the questions we're going to cover surgery
23 first and then we're going to talk about
24 nonoperative management.

25 DR. PHURROUGH: Just because of the

00252

1 layout of the questions.

2 DR. KIRKPATRICK: I just want to make
3 sure I understand.

4 DR. MCNEIL: If we answered Question 5
5 first, would you feel better?

6 DR. KIRKPATRICK: No. I'm just saying,
7 you know that orthopedic surgeons are known as
8 kind of being at the slow end of the intellectual
9 scale, so I just want to make sure I understand
10 what you're telling me I need to do.

11 DR. BURKE: You will do fine.

12 DR. MCDONOUGH: So Questions 2 through
13 4 are talking about adjunctive treatment.

14 DR. BURKE: Yes.

15 DR. MCDONOUGH: Okay.

16 DR. BURKE: Well, 1 is too, 1 through 4
17 are adjunctive.
18 DR. MCDONOUGH: Is Question 1 dealing
19 with adjunctive treatment?
20 DR. MCNEIL: Yeah, 1 through 4 are
21 adjunctive, and then Question 5, we've eliminated
22 the two components to it.
23 Now, how about off label, which is
24 Question 6? Did we discuss that for the
25 biologics?

00253

1 MS. FRIED: We discussed that it wasn't
2 allowed.
3 DR. KIRKPATRICK: I would suggest that
4 it's actually very closely related to what 7 is
5 asking, different fracture types and that sort of
6 thing, unless you want us to comment on whether
7 something used for a nonunion could be
8 extrapolated for a spine piece, which I hope we're
9 not going there.
10 DR. MCNEIL: I think that's what the
11 question means, doesn't it? I'm not sure that I
12 love Question 6.
13 MS. FRIED: I may be mistaken, but I
14 thought it was not allowed off label for the OP-1;
15 wasn't that the presentation, and then the other,
16 we didn't have any information about, right?
17 DR. MCNEIL: But do we want to be
18 confident about something that's illegal?
19 DR. BURKE: I'm not very confident.
20 DR. BERGER: Our answers will tell them
21 that.
22 DR. PHURROUGH: It's not a legality
23 question.
24 DR. FENDRICK: I promise this will be
25 my last comment, because I really like the way it

00254

1 worked out with surgery, no surgery, but now we
2 don't have a question about the level of evidence
3 for the adjunctive therapies, or we don't have a
4 Question 1. So Steve, would you allow me to make

5 a motion to add to Question 5 a 5.A that allows us
6 to talk about the evidence?

7 DR. PHURROUGH: My computer is running
8 out of lines.

9 DR. FENDRICK: We need a question,
10 though, for what we think the evidence base is for
11 ultrasound and external electrical stimulation
12 before surgery. It does not exist in the current
13 state of the questions.

14 DR. BURKE: Listen. I believe that
15 more generally, Mark's point is 1 through 4 should
16 also occur for the nonsurgical questions as well
17 as the surgical, and it seems like we're looking
18 at two tracks here, one with surgery and one
19 without surgery. Is that it, Mark?

20 DR. FENDRICK: I would have to take
21 them out.

22 DR. BURKE: So it's a whole set of
23 questions, 5 generates 1 through 4 related to 5,
24 right? If we don't have a question related to 5,
25 in other words, without surgery, for ultrasound

00255

1 and external electrical stimulation, 2, 3 and 4
2 would apply as well; do you see what I'm saying?
3 (Inaudible colloquy.)

4 DR. MCNEIL: The suggestion was just
5 made that we go back to Question 1, we subdivide
6 external electrical stimulation into capacity and
7 pulse, so that's now two columns.

8 I think we'll take a break while we get
9 ourselves together, but everybody stay here while
10 we get everything on the table, don't go.

11 (Recess.)

12 DR. MCNEIL: Does everyone agree that
13 the other components are fine as listed,
14 morbidity, which includes infection, amputation,
15 permanent loss of limb function; radiographic
16 healing; clinical healing; and radiographic and
17 clinical healing? Yes.

18 DR. MCDONOUGH: If we make (inaudible)
19 for healing or a nonunion, would that reduce the
20 morbidity of permanent loss of limb function, or

21 are we talking about adverse effects?
22 DR. MCNEIL: Morbidity is adverse
23 effects of treatment, is that the question?
24 DR. MCDONOUGH: So then, it would seem
25 in answering that question with respect to

00256

1 morbidity that if something reduces morbidity,
2 then it would be something that, for example, a
3 nonunion if it heals, it would restore limb
4 function and hence, it would reduce morbidity,
5 wouldn't it?
6 DR. MCNEIL: Correct, so you're saying
7 it's a redundant question?
8 DR. MCDONOUGH: Yes, unless you don't
9 believe that (inaudible) are clinically related to
10 an improvement of function.
11 DR. MCNEIL: We may need some
12 discussion on that, so let me repeat the issue,
13 everybody listen if you could. The morbidity now,
14 I think what is being suggested by Bob is, that
15 the issue of clinical healing embeds in it
16 improvement in limb function, so to have loss of
17 limb function would imply no clinical healing, and
18 therefore, we should get rid of permanent loss of
19 limb function as a morbidity.
20 DR. KIRKPATRICK: Can I comment, and
21 maybe Steve can help me on this. You're just
22 talking about whether there's risks to doing the
23 procedure, and some of those risks were just
24 listed as a possibility. You might get an
25 infection if you operate, if nothing works, you

00257

1 might end up with an amputation. Obviously
2 amputation would be a permanent loss of limb
3 function, but another loss of limb function might
4 be a nerve palsy if you affected a nerve when you
5 were doing the surgery. So all those are
6 potential morbidities and I think they're
7 perfectly relevant to the surgical treatments.
8 DR. PHURROUGH: Right. You don't need
9 to consider these are the morbidities, these are

10 just examples of morbidities.
11 DR. MCNEIL: So these are e.g.'s.
12 Okay. Is there any other -- we're writing down
13 to, let's see, any other clarifications?
14 DR. BOYAN: I have a concern.
15 DR. MCNEIL: Sure.
16 DR. BOYAN: We have a definition of
17 orthobiologic and I think minimally, orthobiologic
18 should have something biologic in it. And a
19 calcium filler is not biologic unless it has in it
20 something biological.
21 (Inaudible discussion.)
22 DR. BOYAN: I'm back. We got rid of
23 all those.
24 DR. MCNEIL: Now, are we adding DMB to
25 Question 8?

00258

1 DR. BOYAN: Yes, I think so. DBM.
2 DR. MCNEIL: I'm sorry, DBM. We all
3 should be thinking for a second, and so the first
4 question is, how well does the current scientific
5 evidence support the use of these technologies,
6 and now it's going to read ultrasound with or
7 without surgery, internal stimulation alone,
8 capacity stimulation with or without surgery,
9 pulse stimulation with or without surgery, DBM,
10 BMP-7 and BMP-2. Is everybody on the same page?
11 Okay, Kim?
12 So you're going to be holding up these
13 cards, and this is a fairly complicated vote so
14 you're going to be asked to hold them up for a
15 while since there are a lot of us.
16 I'm going to read each question as we
17 go through this just so we're absolutely clear.
18 How well does the scientific evidence
19 support well-defined indications for each of the
20 technologies in the treatment of nonunion
21 fractures, recalling that nonunion fractures
22 encompass the database that we've considered
23 today?
24 So we will vote from one to five on
25 ultrasound without surgery, going from poorly,

00259

1 current scientific evidence is poor, to current
2 scientific evidence is very well.

3 (Panelists voted, with staff recording
4 the votes.)

5 DR. MCNEIL: Internal electrical
6 stimulation.

7 (Panelists voted, with staff recording
8 the votes.)

9 DR. MCNEIL: External capacity without
10 surgery.

11 (Panelists voted, with staff recording
12 the votes.)

13 DR. KIRKPATRICK: A clarification.

14 You are going to do PEMF separate, correct?

15 DR. MCNEIL: I am. The next one is the
16 same thing with surgery, in conjunction with
17 surgery.

18 (Panelists voted, with staff recording
19 the votes.)

20 DR. MCNEIL: Pulse stimulation with
21 surgery. It's with surgery on the table, so why
22 don't we do with surgery first, so it's pulse with
23 surgery.

24 (Panelists voted, with staff recording
25 the votes.)

00260

1 DR. MCNEIL: Now pulse without surgery.

2 (Panelists voted, with staff recording
3 the votes.)

4 DR. MCNEIL: DBM.

5 (Panelists voted, with staff recording
6 the votes.)

7 DR. MCNEIL: BMP-7.

8 (Panelists voted, with staff recording
9 the votes.)

10 DR. MCNEIL: BMP-2.

11 (Panelists voted, with staff recording
12 the votes.)

13 DR. MCNEIL: Now we can roll down the
14 screen to Question Number 2, and we're going to go

15 through each one of these for the specific
16 outcomes. The outcomes, just to recall,
17 morbidity, infection, amputation, permanent loss
18 of limb function, those are all for examples,
19 radiographic healing, clinical healing, and both.
20 So the first one is ultrasound with
21 surgery specifically with regard to all of those
22 things. Morbidity.
23 So the question is, how confident are
24 you in the validity of the scientific data for the
25 enhancement of nonunion treatments on the

00261

1 following outcomes? Ultrasound with surgery.
2 DR. MCDONOUGH: Are we talking about
3 morbidity for this one?
4 DR. MCNEIL: You're right, that doesn't
5 make any sense. So the first one, I guess the
6 morbidity question is moot, right?
7 DR. BURKE: No, the next one.
8 DR. MCNEIL: I'm sorry, the next one is
9 moot.
10 DR. KIRKPATRICK: I don't think any of
11 them are moot. The question is, do we think
12 there's valid evidence that demonstrates that the
13 morbidity is a problem.
14 DR. MCDONOUGH: Can I ask a question?
15 When you say ultrasound, is there a problem with
16 the surgery or with the addition of the ultrasound
17 to the surgery that it increased the morbidity?
18 DR. MCNEIL: This is a package.
19 DR. KIRKPATRICK: We're not answering
20 the question of the surgery's morbidity, we're
21 answering the question of the ultrasound morbidity
22 in addition to the surgery.
23 DR. MCDONOUGH: Whether it's adding to
24 the morbidity.
25 DR. KIRKPATRICK: Whether there's valid

00262

1 evidence that tells us that ultrasound adds to the
2 morbidity.
3 DR. MCNEIL: This is all prior surgery

4 pretty much, though.
5 (Panelists voted, with staff recording
6 the votes.)
7 DR. MCNEIL: Okay. Radiographic
8 healing, and we're still with surgery. This set
9 of questions is --
10 MS. FRIED: Oh, we're going down.
11 DR. MCNEIL: It's better to look up
12 here at me. Ultrasound with surgery, radiographic
13 healing.
14 (Panelists voted, with staff recording
15 the votes.)
16 DR. MCNEIL: Clinical healing.
17 (Panelists voted, with staff recording
18 the votes.)
19 DR. MCNEIL: Both clinical and
20 radiographic.
21 (Panelists voted, with staff recording
22 the votes.)
23 DR. MCNEIL: Okay. Now we do
24 ultrasound without surgery.
25 How confident are you in the validity

00263

1 of the scientific evidence for ultrasound without
2 surgery with regard to those same things? The
3 first one is morbidity.
4 (Panelists voted, with staff recording
5 the votes.)
6 DR. MCNEIL: Radiographic healing.
7 (Panelists voted, with staff recording
8 the votes.)
9 DR. MCNEIL: Ultrasound without surgery
10 with regard to clinical healing.
11 (Panelists voted, with staff recording
12 the votes.)
13 DR. MCNEIL: Both.
14 (Panelists voted, with staff recording
15 the votes.)
16 DR. MCNEIL: Okay. That question
17 related to the state of the evidence. The next
18 one is electrical internal stimulation. Can we
19 move up the chart, up some more to internal

20 electrical stimulation.
21 How confident are you of the validity
22 of the scientific evidence of that with regard to
23 the same things? Morbidity.
24 (Panelists voted, with staff recording
25 the votes.)

00264

1 DR. MCNEIL: Radiographic healing.
2 (Panelists voted, with staff recording
3 the votes.)
4 DR. MCNEIL: Clinical healing.
5 (Panelists voted, with staff recording
6 the votes.)
7 DR. MCNEIL: Both.
8 (Panelists voted, with staff recording
9 the votes.)
10 DR. MCNEIL: Could you move the screen
11 down, please. External stimulation without
12 surgery -- sorry. External capacity without
13 surgery. Morbidity.
14 (Panelists voted, with staff recording
15 the votes.)
16 DR. MCNEIL: Radiographic healing.
17 (Panelists voted, with staff recording
18 the votes.)
19 DR. MCNEIL: Clinical healing.
20 (Panelists voted, with staff recording
21 the votes.)
22 DR. MCNEIL: Both.
23 (Panelists voted, with staff recording
24 the votes.)
25 DR. MCNEIL: Okay. So now, external

00265

1 capacity with surgery. Morbidity.
2 (Panelists voted, with staff recording
3 the votes.)
4 DR. MCNEIL: Radiographic healing.
5 (Panelists voted, with staff recording
6 the votes.)
7 DR. MCNEIL: Clinical healing.
8 (Panelists voted, with staff recording

9 the votes.)
10 DR. MCNEIL: Both.
11 (Panelists voted, with staff recording
12 the votes.)
13 DR. MCNEIL: Okay. If we move up the
14 screen to line 41, thank you. So, PEMF with
15 surgery. Morbidity.
16 (Panelists voted, with staff recording
17 the votes.)
18 DR. MCNEIL: Radiographic healing.
19 (Panelists voted, with staff recording
20 the votes.)
21 DR. MCNEIL: Clinical healing.
22 (Panelists voted, with staff recording
23 the votes.)
24 DR. MCNEIL: Both.
25 (Panelists voted, with staff recording

00266

1 the votes.)
2 DR. MCNEIL: Now, PEMF without surgery.
3 Morbidity.
4 (Panelists voted, with staff recording
5 the votes.)
6 DR. MCNEIL: Radiographic healing.
7 (Panelists voted, with staff recording
8 the votes.)
9 DR. MCNEIL: Clinical healing.
10 (Panelists voted, with staff recording
11 the votes.)
12 DR. MCNEIL: Both.
13 (Panelists voted, with staff recording
14 the votes.)
15 DR. MCNEIL: If we can move up the
16 screen, please? Okay. Now we go to DBM,
17 morbidity, and realizing that we have all DBMs
18 lumped in here even though we talked about
19 primarily one.
20 (Panelists voted, with staff recording
21 the votes.)
22 DR. MCNEIL: Radiographic healing.
23 (Panelists voted, with staff recording
24 the votes.)

25 DR. MCNEIL: Clinical healing.

00267

1 (Panelists voted, with staff recording
2 the votes.)

3 DR. MCNEIL: Both.

4 (Panelists voted, with staff recording
5 the votes.)

6 DR. MCNEIL: Moving up to BMP-7,
7 morbidity.

8 (Panelists voted, with staff recording
9 the votes.)

10 DR. MCNEIL: Radiographic healing.

11 (Panelists voted, with staff recording
12 the votes.)

13 DR. MCNEIL: Clinical healing.

14 (Panelists voted, with staff recording
15 the votes.)

16 DR. MCNEIL: Both.

17 (Panelists voted, with staff recording
18 the votes.)

19 DR. MCNEIL: Okay. BMP-2, morbidity.

20 (Panelists voted, with staff recording
21 the votes.)

22 DR. MCNEIL: Radiographic healing.

23 (Panelists voted, with staff recording
24 the votes.)

25 DR. MCNEIL: Clinical healing.

00268

1 (Panelists voted, with staff recording
2 the votes.)

3 DR. MCNEIL: Both.

4 (Panelists voted, with staff recording
5 the votes.)

6 DR. MCNEIL: Okay. This next one, now
7 addressing the issue, how likely is it -- oh,
8 sorry. We were on both, radiographic and
9 clinical.

10 (Voting continued.)

11 DR. MCNEIL: Now to Question 3, so let
12 me repeat everything we just did, except related
13 to the effect on the following outcomes, where the

14 outcome is positively related to the respective
15 biophysical enhancement. So data, and now
16 outcomes. So, the first one is ultrasound with
17 surgery. Morbidity.

18 (Panelists voted, with staff recording
19 the votes.)

20 DR. MCNEIL: How about radiographic
21 healing.

22 (Panelists voted, with staff recording
23 the votes.)

24 DR. MCNEIL: Clinical healing.

25 (Panelists voted, with staff recording

00269

1 the votes.)

2 DR. MCNEIL: Both.

3 (Panelists voted, with staff recording

4 the votes.)

5 DR. MCNEIL: Moving up a line please to
6 without surgery, so how likely is it that
7 ultrasound without surgery will positively affect
8 morbidity, as indicated above?

9 (Panelists voted, with staff recording
10 the votes.)

11 DR. MCNEIL: Radiographic healing.

12 (Panelists voted, with staff recording
13 the votes.)

14 DR. MCNEIL: Clinical healing.

15 (Panelists voted, with staff recording
16 the votes.)

17 DR. MCNEIL: Both.

18 (Panelists voted, with staff recording
19 the votes.)

20 DR. MCNEIL: Okay. If we could move
21 line 78 up. So, how likely is it that internal
22 electrical stimulation will positively affect
23 morbidity?

24 (Panelists voted, with staff recording
25 the votes.)

00270

1 DR. MCNEIL: Radiographic healing.

2 (Panelists voted, with staff recording

3 the votes.)
4 DR. MCNEIL: Clinical healing.
5 (Panelists voted, with staff recording
6 the votes.)
7 DR. MCNEIL: Both.
8 (Panelists voted, with staff recording
9 the votes.)
10 DR. MCNEIL: Moving up to the top,
11 external capacity without surgery will positively
12 affect, how likely is it that it will positively
13 affect morbidity? External capacity without
14 surgery.
15 (Panelists voted, with staff recording
16 the votes.)
17 DR. MCNEIL: Radiographic healing.
18 (Panelists voted, with staff recording
19 the votes.)
20 DR. MCNEIL: Clinical healing.
21 (Panelists voted, with staff recording
22 the votes.)
23 DR. MCNEIL: Both.
24 (Panelists voted, with staff recording
25 the votes.)

00271

1 DR. MCNEIL: Moving up to electrical
2 capacity with surgery, with morbidity, how likely
3 is it that it will positively affect morbidity?
4 (Panelists voted, with staff recording
5 the votes.)
6 DR. MCNEIL: Radiographic healing.
7 (Panelists voted, with staff recording
8 the votes.)
9 DR. MCNEIL: Clinical healing.
10 (Panelists voted, with staff recording
11 the votes.)
12 DR. MCNEIL: Both.
13 (Panelists voted, with staff recording
14 the votes.)
15 DR. MCNEIL: Moving on, how likely is
16 it that pulse stimulation with surgery will
17 positively affect morbidity?
18 (Panelists voted, with staff recording

19 the votes.)
20 DR. MCNEIL: Radiographic healing.
21 (Panelists voted, with staff recording
22 the votes.)
23 DR. MCNEIL: Clinical healing.
24 (Panelists voted, with staff recording
25 the votes.)

00272

1 DR. MCNEIL: Both.
2 (Panelists voted, with staff recording
3 the votes.)
4 DR. MCNEIL: PEMF without surgery, same
5 thing, morbidity.
6 (Panelists voted, with staff recording
7 the votes.)
8 DR. MCNEIL: Radiographic healing.
9 (Panelists voted, with staff recording
10 the votes.)
11 DR. MCNEIL: Clinical healing.
12 (Panelists voted, with staff recording
13 the votes.)
14 DR. MCNEIL: Both.
15 (Panelists voted, with staff recording
16 the votes.)
17 DR. MCNEIL: Okay, moving up. First
18 orthobiologic, DBM, how likely is it that it will
19 have a positive effect on morbidity?
20 (Panelists voted, with staff recording
21 the votes.)
22 DR. MCNEIL: Radiographic healing.
23 (Panelists voted, with staff recording
24 the votes.)
25 DR. MCNEIL: Clinical healing.

00273

1 (Panelists voted, with staff recording
2 the votes.)
3 DR. MCNEIL: Both.
4 (Panelists voted, with staff recording
5 the votes.)
6 DR. MCNEIL: Okay. BMP-7, how likely
7 is it that it will have a positive effect on

8 morbidity?
9 (Panelists voted, with staff recording
10 the votes.)
11 DR. MCNEIL: Radiographic healing,
12 BMP-7, OP-1.
13 (Panelists voted, with staff recording
14 the votes.)
15 DR. MCNEIL: Clinical healing.
16 (Panelists voted, with staff recording
17 the votes.)
18 DR. MCNEIL: Both.
19 (Panelists voted, with staff recording
20 the votes.)
21 DR. MCNEIL: Okay. BMP-2, how likely
22 is it that it will positively affect morbidity?
23 (Panelists voted, with staff recording
24 the votes.)
25 DR. MCNEIL: Radiographic healing.

00274

1 (Panelists voted, with staff recording
2 the votes.)
3 DR. MCNEIL: Clinical healing.
4 (Panelists voted, with staff recording
5 the votes.)
6 DR. MCNEIL: Both.
7 (Panelists voted, with staff recording
8 the votes.)
9 DR. MCNEIL: So, the next question we
10 actually didn't discuss, and I'm realizing that we
11 should probably -- well, let me read it to you,
12 Question 4, can you put it on the screen? How
13 confident are you that the following technologies
14 will produce a clinically important net health
15 benefit, and then we list ultrasound, internal,
16 external stimulation, and they should be split
17 just like the others were. So while Steve is
18 doing that, we will vote. So, how confident are
19 you that ultrasound with surgery will produce a
20 clinically important net health outcome?
21 (Panelists voted, with staff recording
22 the votes.)
23 DR. MCNEIL: How about ultrasound with

- 24 no surgery, ultrasound alone?
25 (Panelists voted, with staff recording

00275

- 1 the votes.)
2 DR. MCNEIL: Okay, ready? Internal
3 electrical stimulation.
4 (Panelists voted, with staff recording
5 the votes.)
6 DR. MCNEIL: So capacity with surgery,
7 electrical capacity stimulation with surgery.
8 (Panelists voted, with staff recording
9 the votes.)
10 DR. MCNEIL: Without surgery.
11 (Panelists voted, with staff recording
12 the votes.)
13 DR. MCNEIL: Now the PEMF has to be
14 divided, if anybody is listening to me. Are we
15 ready to go on to PEMF? And just pretend there
16 are two lines under there, and the first one says
17 with surgery.
18 (Panelists voted, with staff recording
19 the votes.)
20 DR. MCNEIL: PEMF without surgery.
21 (Panelists voted, with staff recording
22 the votes.)
23 DR. MCNEIL: DBM.
24 (Panelists voted, with staff recording
25 the votes.)

00276

- 1 DR. MCNEIL: BMP-7.
2 (Panelists voted, with staff recording
3 the votes.)
4 DR. MCNEIL: BMP-2.
5 (Panelists voted, with staff recording
6 the votes.)
7 DR. MCNEIL: Now we've got a couple
8 easy ones coming up, before we go brain-dead. The
9 next question is Question 6, just as it was. How
10 confident are you that the improved net health
11 outcomes will hold for off-label treatments using
12 orthobiologic devices?

13 DR. BURKE: Whoa. What about 5?
14 DR. MCNEIL: 5 we felt we answered
15 already. So how about 6, how confident are you
16 that the improved net health outcomes will hold
17 for off-label treatments of nonunion fractures
18 using orthobiologic devices?
19 (Panelists voted, with staff recording
20 the votes.)
21 DR. MCNEIL: So the seventh one, we
22 didn't divide this one either, Steve.
23 DR. PHURROUGH: We didn't discuss 7
24 much, but as I understood the discussion from the
25 clinicians, that if a bone is completely healed, a

00277

1 bone is completely healed, regardless of how it
2 completely healed. So that perhaps the question,
3 rather than for each of the interventions, whether
4 the question should just answer A, B and C across
5 all the way down. Because if you get completely
6 healed regardless of the type of modality that
7 healed you, how likely is that completely healed
8 to affect A, B and C.
9 DR. MCNEIL: Okay. So A would be for
10 fracture types for which there have been no
11 clinical studies, with the exception of -- what?
12 DR. KIRKPATRICK: I think we're talking
13 about generalizing between saying a tibia and a
14 clavicle might be relevant for a radius or ulna,
15 but not to the spine.
16 DR. MCNEIL: I don't have clinical
17 studies for the tibia and ulna.
18 DR. KIRKPATRICK: We don't have them
19 for ulna as well as we do for the tibia, we don't
20 have them for the radius as well as we do for the
21 tibia.
22 DR. MCNEIL: No, I understand that, but
23 I thought when we were voting on Questions 2
24 through 5, we were voting for all of the things,
25 however infrequent they were in that big table,

00278

1 and then Question 7.A simply included things that

2 were not in that table, like spinal fractures.
3 Maybe I misinterpreted.
4 DR. KIRKPATRICK: As a clinician, I
5 would exclude spine fractures from a majority of
6 all this discussion.

7 DR. PHURROUGH: And we did not intend
8 to look at spine with this, so I think we're
9 essentially saying how does tibia compare to
10 everything else in general, those with less or
11 little data. In other words, those we have a lot
12 of data on, can we generalize those to where there
13 was very little data?

14 DR. BOYAN: Before we do that, I want
15 to make sure I didn't vote different than
16 everybody else. On Question 6, specifically we
17 were saying that these methods, that the way that
18 we see these methods, if other orthobiologics that
19 might come along will also be reasonably good, is
20 that what the question was?

21 DR. BURKE: No. It was off-label use
22 of these orthobiologics.

23 DR. BOYAN: That's fine. Like for
24 things that are not currently used.

25 DR. MCNEIL: That was Question 6.

00279

1 DR. BOYAN: I voted the way I wanted to
2 vote, okay.

3 DR. MCNEIL: So with the
4 generalizability to non-tibia, that's 7.A, so the
5 question is: How likely is it that completely
6 healed nonunion fractures, however done, can be
7 generalized to, since most of the data we saw came
8 from the tibia, to the scaphoid just to stylize
9 it, or to the ulna or humerus or whatever?

10 (Panelists voted, with staff recording
11 the votes.)

12 DR. MCNEIL: Now the providers, here
13 we're talking about places beyond the sites where
14 these clinical data came from, realizing we didn't
15 talk about that a lot, but in general they came
16 from high volume places which specialize in the
17 kinds of things that we talked about.

18 (Panelists voted, with staff recording
19 the votes.)
20 DR. MCNEIL: And finally, to the
21 Medicare population, we talked a lot about that.
22 (Panelists voted, with staff recording
23 the votes.)
24 DR. MCNEIL: So if we're up to it, we
25 have one last question. How likely are we that

00280

1 all of the orthobiologics, that's BMP-7, BMP-2 and
2 DBM, are equivalent?
3 (Panelists voted, with staff recording
4 the votes.)
5 DR. KIRKPATRICK: May I speak for Steve
6 and ask one more question, and that is just to
7 compare the two BMPs? My answer is totally
8 different when they were with, in the original
9 question which did not include the demineralized
10 bone matrix versus the two BMPs, and I'm wondering
11 if that would be helpful to Steve.
12 DR. PHURROUGH: I'm not sure what
13 you're asking.
14 DR. KIRKPATRICK: Osteobiologics now
15 includes demineralized bone matrix preparations,
16 of which there are about 20, and two BMPs. In my
17 mind and in my experience, those are totally
18 different performance criteria that were
19 evaluation, and I'm wondering if it would be
20 helpful to you to look at the original question
21 which was between BMP-7 and BMP-2.
22 DR. PHURROUGH: So you recommend
23 comparing two and seven versus DBM?
24 Versus two, seven and DBM, all saying
25 they're equivalent.

00281

1 DR. MCNEIL: He wants to vote on the
2 original question.
3 DR. PHURROUGH: Let me hear your
4 interpretation of the question you want.
5 DR. KIRKPATRICK: What I just voted on
6 was, do I think that demineralized bone matrix

7 preparations, OP-1 and INFUSE are all equivalent
8 in the treatment of nonunion fractures, and you
9 can see my answer. If you change that to saying
10 just the two BMP products, my answer would be very
11 different, and I think some of the panel would
12 also have that difference.

13 DR. PHURROUGH: I see. So Question 8
14 was all of them, and you're saying that Question 9
15 would be --

16 DR. KIRKPATRICK: Just the two BMPs.
17 And I think when you guys get into cost analysis,
18 you will find a big difference there too.

19 DR. MCNEIL: That's --

20 DR. KIRKPATRICK: I'm not saying we're
21 doing a cost analysis, I'm saying he has to do a
22 cost analysis.

23 DR. PHURROUGH: He's asking a
24 scientific question. The question is, or he would
25 like the panel to ask, are two and seven

00282

1 equivalent.

2 DR. KIRKPATRICK: I think the
3 information that I'm looking at, the BMP-2 and
4 BMP-7 --

5 DR. PHURROUGH: Let me interrupt.
6 That's a yes or no question.

7 DR. KIRKPATRICK: Yes.

8 DR. PHURROUGH: Would the panel like to
9 ask that question?

10 DR. MCNEIL: Sure.

11 DR. PHURROUGH: Let's ask that
12 question.

13 DR. MCNEIL: Got the question, Kim,
14 Michelle? It's the original Question 8, the one
15 on the printed sheet is --

16 DR. PHURROUGH: No. The one on the
17 sheet says all orthobiologics such as, so number 8
18 was all of them. So number 9 is, how confident
19 are you that just the recombinant ones are equal?
20 (Panelists voted, with staff recording
21 the votes.)

22 DR. MCNEIL: Wow. We finished. I must

23 say, just standing up there I could see the votes,
24 and when we asked about variability, it was
25 largely there, but it was quite clear that the

00283

1 spectrum was in the two-three range with some
2 fours and virtually no fives, some fives, but not
3 as many. Right.
4 So Kim, do you need to adjourn us, are
5 there any further questions or issues that we
6 would love to add on at this hour of the day? If
7 not, then I think the meeting is adjourned.
8 DR. PHURROUGH: Just quickly, thank you
9 very much. This was helpful to us. I recognize
10 it was a challenge and it's always a challenge to
11 make sure that we ask the right questions and you
12 always tell us in that regard. Our current plan
13 is to take your recommendations, look at our
14 current policies and see if they should change,
15 and see if there is something to stimulate the
16 world to look at these particular technologies in
17 a different light. Thank you very much and we
18 will look forward to the next meeting in November.
19 (Whereupon, the meeting adjourned at
20 4:17 p.m.)

21

22

23

24

25