

CENTERS FOR MEDICARE AND MEDICAID SERVICES

MEDICARE EVIDENCE DEVELOPMENT AND COVERAGE
ADVISORY COMMITTEE

-----X
:
IN RE: SCREENING GENETIC TESTS :
:
----- X

Woodlawn, Maryland

Wednesday, May 6, 2009

The above entitled matter came to be heard before
the Medicare Evidence Development and Coverage Committee in
the CMS Auditorium, 7500 Security Boulevard, Woodlawn,
Maryland.

COMPOFELICE REPORTING SERVICES, INC.

6671 Farbell Row

Columbia, Maryland 21045

(301) 596-2019 (410) 381-2755

(800) 464-3019

FAX (410) 290-7249

PANELISTS:

ACTING CHAIR:

SATY SATYA-MURTI, MD, FAAN

PANEL MEMBERS:

MARION DANIS, MD

NANCY DAVENPORT ENNIS, BA

MARK D. GRANT, MD, MPH

DANIEL F. HAYES, MD

I. CRAIG HENDERSON, MD

JAMES E. PUKLIN, MD

RANDEL RICHNER, BSN, MPH

MAREN T. SCHEUNER, MD, MPH

TERESA M. SCHROEDER, BS, MBA

JOHN SPERTUS, MD, MPH, FACC

JONATHAN P. WEINER, PhD

INDUSTRY REPRESENTATIVE:

ELEANOR M. PERFETTO, PhD, MS

GUEST SPEAKER:

W. GREGORY FEERO, MD, PhD

GUEST PANELISTS:

STEVE GUTMAN, MD

NEIL HOLTZMAN, MD

ELIZABETH MANSFIELD, PhD

CMS LIAISON:

MARCEL SALIVE, MD

EXECUTIVE SECRETARY:

MARIA A. ELLIS

C O N T E N T S

	PAGE
OPENING REMARKS:	
MARIA ELLIS	4
DR. STRAUBE	4
DR. SALIVE	13
CMS PRESENTATION AND VOTING QUESTIONS:	
SANDRA JONES, RN	20
JEFFREY ROCHE, MD, MPH	24
GUEST SPEAKER PRESENTATIONS:	
WILLIAM G. FEERO, MD, PhD	31
STEVEN TEUTSCH, MD, MPH	63
SCHEDULED PUBLIC COMMENTS:	
JAN A. NOWAK, MD, PhD, FCAP	105
RICHARD WENSTRUP, MD	112
BRUCE QUINN, MD, MBA	119
CHARIS ENG, MD, PhD, FACP	127
DIANE J. ALLINGHAM-HAWKINS, PhD, FCCMG, FACMG	134
ROGER D. KLEIN, MD, JD	140
BARRY THOMPSON, MD	145

1 P R O C E E D I N G S

2 MS. ELLIS: Good morning, everybody. We're
3 about to start the meeting for today. First we will
4 have words from Dr. Barry Straube.

5 DR. STRAUBE: Good morning, I'm Barry
6 Straube. I'm the CMS chief medical officer and
7 director of the office of clinical standards and
8 quality, part of the Coverage and Analysis Group
9 who's putting on this MEDCAC meeting today as part of
10 the office of clinical standards and quality
11 (unintelligible) underlying portfolio of oversight
12 from the management side.

13 I want to welcome this MEDCAC panel. It's
14 an illustrious group of folks, and I appreciate all of
15 you agreeing to be on this panel.

16 I would like to take a minute or two to set
17 the context. I'd also like to welcome everybody in
18 the audience. You represent diverse organizations.
19 We welcome your input and comments during the open

20 mike periods.

21 I also, before we started, for those of you

1 who've been on the MEDCAC and sat on some of these
2 panels before, you know that Dr. Stephen Phurrough had
3 been the director of the Coverage and Analysis Group. When
4 Steve was here, Steve kept reminding me for a total of seven-
5 plus years, which he said was the longest he was in
6 any one place at any one time. But as you probably
7 know, Steve decided to simplify his life a little bit,
8 and he has taken a position as medical officer
9 over at the Agency for Healthcare Research and Quality
10 and will be working on some comparative
11 effectiveness projects.

12 I wanted to mention Steve's name before we
13 started, because he has been so integral to the
14 development of coverage policies and basic principles
15 on some rather innovative new directions we've taken
16 over the last several years. So I suspect we'll be
17 seeing Steve here from time to time, but I did want to
18 acknowledge his leadership.

19 We are -- we do have a posting right now for

20 that position. It's a very, very important position

21 in the context of what's going on in health care right

1 now, so insofar as the panel, the audience, or anyone
2 who know people who might be suited for that position,
3 you're welcome (unintelligible) to go to our website
4 (unintelligible.)

5 I'd also like to acknowledge that we have a
6 new chair and co-chair of MEDCAC, who, I guess, will
7 officially be in July or June assuming their roles.

8 One is Dr. (unintelligible) who will be chair of
9 MEDCAC. We have with us this morning, who is the
10 acting chair for the moment and will be the co-chair
11 is Dr. Satyi Satya-Murti, who will be chairing today's
12 MEDCAC Meeting.

13 (Whereupon, there were technical
14 difficulties with the audio.)

15 About a year and a half ago, I sat down with
16 a coverage group, and we decided that we really needed
17 to try to get ahead of the game or get caught up,
18 frankly, with where genetics were going. So we've
19 been doing some internal work. We've been working

20 very closely with the secretary's advisory committee

21 on genetic health in society and decided mid-last

1 year that we needed to do some MEDCAC meetings on the
2 issue of genetics and genetic testing. So we had, as
3 you know, a panel that met in February looking at the
4 issue of Warfarin testing -- Warfarin genetic testing
5 to determine whether or not those tests were helpful
6 in the management of patients who were being treated
7 with Warfarin therapy.

8 As you know, that panel came out with a
9 variety of recommendations. But the final, most
10 important piece was that the evidence was not quite
11 there that the panel would recommend that we should
12 cover that particular test without restriction. But
13 at the same time, there was sufficient evidence that
14 it might have some benefit. So we invoked the
15 coverage with evidence development option that we have
16 in national coverage decisions.

17 And just Monday of this week, we announced
18 the proposed decision for genetic testing with
19 Warfarin therapy, which we're proposing we will cover,

20 but only under the conditions of coverage with

21 evidence development. So that's the first toe-in-the-

1 water that we at CMS have taken on this. There's been
2 lots and lots and lots of interest, and we're anxious
3 to see what the comment period will bring for the
4 Warfarin testing issue.

5 But it's time to keep moving forward, and
6 that's the context of today. And I think it's very
7 relevant, in that President Obama has a large number
8 of priorities that he wants to embark on. But in the
9 health care world in particular, we do know that his
10 priorities include increasing accessibility to medical
11 care and to tests when relevant, increasing
12 affordability of the general health care system, and
13 then finally invoking both personalized medicine, but
14 population health at the same time.

15 And although one might think that the two
16 are in conflict, I think they are complementary, that
17 is, we need to assure that our general population is
18 taken care of from a population-base perspective, that
19 as many people as possible need to have access to

20 services that are important and necessary for them.

21 But at the same time, we're all individuals, and we

1 have to take into account that special uniqueness that
2 each and every one of us as patients may have.

3 So the personalized medicine has to balance,
4 I think, with population health. So today Dr. Marcel
5 Salive, who is one of our division directors in the
6 coverage and analysis group, will be representing us here
7 and steering things from the CMS side. And I thank
8 Marcel for doing this. And I thank again all of you
9 as we get into the topic of the day, which again, will
10 be trying to give us some structure on screening
11 genetic tests and what considerations we should take
12 into account as we go forward developing policy on how
13 to deal with screening genetic tests.

14 So thank you very much. I do have to scoot
15 pretty quickly. I'm going to stay for a few minutes,
16 but I have to get down to D.C. for a meeting with the
17 department down there later this morning. But you're
18 in good hands with Marcel and all of our staff. And
19 again, I thank you in advance for your work here.

20 Thank you.

21 MS. ELLIS: Good morning, and welcome acting

1 committee chairperson, members, and guests. I am
2 Maria Ellis, the Executive Secretary for the Medicare
3 Evidence Development and Coverage Advisory Committee,
4 MEDCAC. The committee is here today to discuss the
5 evidence, hear presentations and public comment, and
6 make recommendations concerning the requirements for
7 evidence to determine if the use of screening genetic
8 testing of beneficiaries without signs or symptoms of
9 disease improves health outcomes in Medicare
10 beneficiaries.

11 The meeting will discuss the various kinds
12 of evidence that are useful to support requests for
13 Medicare coverage in this field. The following
14 announcement addresses conflict of interest issues
15 associated with this meeting and is made part of the
16 record.

17 The conflict of interest statutes prohibit
18 special government employees from participating in
19 matters that could affect their or their employers

20 financial interests. Each member will be asked to

21 disclose any financial conflicts of interest during

1 their introduction. We ask in the interest of
2 fairness, that all persons making statements or
3 presentations also disclose any current or previous
4 financial involvement in a company that manufactures
5 or provides devices or other tools for the research of
6 screening genetic tests. This includes direct
7 financial investments, consulting fees, and
8 significant institutional support.

9 If you haven't already received a disclosure
10 statement, they are available on the table outside
11 this room and should be submitted before you make your
12 presentation.

13 We ask that all presenters please adhere to
14 their time limits. We have numerous presenters to
15 hear from today in a very tight agenda and, therefore,
16 cannot allow extra time. There is a timer at the
17 podium that you should follow. The light will begin
18 flashing when there are two minutes remaining and then
19 turn red when your time is up. Please note that there

20 is a chair for the next speaker, and please proceed to

21 that chair when it is your turn.

1 We ask that all speakers addressing the
2 panel please speak directly into the mic and state
3 your name as this is being recorded. For the record,
4 voting members present for today's meeting are Dr.
5 Marion Danis, Nancy Davenport-Ennis, Dr. Mark Grant,
6 Dr. Daniel Hayes, Dr. E. Craig Henderson, Dr. James
7 Puklin, Randal Richner, Dr. Maren Scheuner, Teresa
8 Schroeder, Dr. John Spertus, Dr. Steven Teutsch, and
9 Dr. Jonathan Weiner.

10 A quorum is present, and no one has been
11 recused because of conflicts of interest. The entire
12 panel including non-voting members will participate in
13 the voting. The voting scores will be available on
14 our web site following the meeting. Two averages will
15 be calculated. One for voting members and one for the
16 entire panel. I ask that all panel members please
17 speak directly into the mics, and you may have to move
18 the mics since we may have to share.

19 If you require a taxicab, there's a sign-up

20 sheet at the desk outside the auditorium. Please

21 submit your request during the lunch break. Please

1 remember to discard your trash in the trash cans
2 located outside of this room. And lastly, all CMS
3 guests attending today's MEDCAC meeting are only
4 permitted in the following areas of the CMS single
5 site. They are the main lobby, the auditorium, the
6 lower level lobby, and the cafeteria. Any persons
7 found in any area other than those mentioned will be
8 asked to leave the conference and will not be allowed
9 back on CMS property again.

10 And now I would like to turn the meeting
11 over to Dr. Marcel Salive.

12 DR. SALIVE: Good morning everyone. I want
13 to echo my thanks to the panel for your willingness to
14 serve and echo the comments of Dr. Straube. One
15 point, I wanted to thank the panel. Many of you
16 served on the earlier February 25th panel on
17 diagnostic genetic tests. And this meeting as was
18 mentioned will be covered
19 -- will be discussing screening genetic tests.

20 So I wanted to just remind everyone of our

21 CMS regulatory, if you will, definition of diagnostic

1 tests, which are just that the procedure, the
2 diagnostic procedure or test is to obtain information
3 to aid in the assessment of the medical condition or
4 diagnosis of disease. So we contrast that with
5 screening of a person who is essentially healthy or
6 not exhibiting any symptoms of a disease of interest.

7 I don't have any additional comments at this
8 time, but I'll be happy to interchange with the panel
9 or answer any questions as the CMS representative.
10 And I want to turn it over to Dr. Satya-Murti.

11 DR. SATYA-MURTI: Maria, as in the past, you
12 want us to introduce a statement of conflict of
13 interest, or is that not necessary anymore?

14 MS. ELLIS: Yes. You can do that.

15 DR. SATYA-MURTI: We are --

16 MS. ELLIS: Yes.

17 DR. SATYA-MURTI: I'm Saty Satya-Murti. I'm
18 a neurologist with clinical and academic background,
19 and I've been a Medicare medical director for a little

20 over ten years. And I do some consulting now and do

21 some non-profit and educational and clinical work. I

1 have no conflicts of interest.

2 DR. DANIS: Hello, I'm Marion Danis. I'm an
3 internist and a bioethicist. I run the ethics
4 consultation service at the clinical center at the
5 National Institutes of Health and run the section on
6 ethics and health policy. And I have no conflicts of
7 interest.

8 MS. DAVENPORT-ENNIS: And I am Nancy
9 Davenport-Ennis. My organization is a patient
10 advocate foundation. And I have no conflicts of
11 interest.

12 MR. GRANT: And I'm Mark Grant. My
13 background is a geriatrician, epidemiologist. I'm an
14 associate director at the Technology Evaluation Center
15 of Blue Cross/Blue Shield Association. I have no
16 conflicts of interest.

17 DR. HAYES: I'm Dan Hayes. I'm a breast
18 cancer medical oncologist at the University of
19 Michigan with a special research interest in tumor

20 markers. I have research funding from Pfizer,

21 Novartis, Astra Zeneca, and, in particular, from

1 Varidex, which is the diagnostic branch of J and J.

2 And one could argue that they make genetic tests, but

3 not in the context I think we're going to discuss

4 today. So I don't think I have a conflict there.

5 MR. HENDERSON: I'm Craig Henderson. I'm

6 medical oncologist, University of California San

7 Francisco. And I have no conflict of interest.

8 MR. PUKLIN: I'm Jim Puklin. I am a

9 professor of ophthalmology at Wayne State University,

10 and I'm also chairman of the Wayne State University

11 Human Investigation Committee. And I have no conflict

12 of interest.

13 MS. RICHNER: I'm Randal Richner. I'm a

14 nurse, a private consultant, have been working for

15 many years in health technology and health economics

16 assessments, and I have one editorial comment to make.

17 I have been on MCAC since its inception in 1999, other

18 than a two-year hiatus, and this is the first time in

19 ten years that I've been allowed to vote. So I'm

20 very, very happy about this. So I'm going to make my

21 vote count. And also I wanted to say that this also

1 might be my last time on MCAC today 'cause my term is
2 over. So I just -- I'm very excited to be here, and I
3 get to vote. And I have no conflicts.

4 DR. SCHEUNER: I'm Maren Scheuner. I'm a
5 clinical geneticist, also trained in internal
6 medicine. I work at the Rand Corporation, where I do
7 primarily health services and health policy research,
8 and also work at the V.A. in greater Los Angeles,
9 where I'm doing health services research. And I am an
10 adjunct associate professor in the department of
11 Health Services at UCLA School of Public Health.

12 MS. SCHROEDER: I'm Teresa Schroeder,
13 director of clinical affairs for musculo-skeletal
14 clinical regulatory advisors. I'm here as a patient
15 advocate. And I have no financial conflict.

16 DR. SCHEUNER: I have no financial conflict.

17 DR. SPERTUS: My name is John Spertus. I'm
18 a cardiologist at the Mid-America Heart Institute and
19 a professor of medicine at the University of Missouri,

20 Kansas City. I do health services and outcomes

21 research, particularly patient-centered outcomes

1 research, and I've been very involved in quality
2 assessment and quality improvement. I have a lot of
3 research funding from a broad range of pharmaceutical
4 and device companies. But as it relates to genetic
5 testing, particularly in screening disease, I've done
6 some research, but have no conflict of interest.

7 DR. TEUTSCH: I'm Steve Teutsch. I'm the
8 chief science officer for the Los Angeles County
9 Department of Public Health. I'm recently retired
10 from Merck, but I have no specific conflicts of
11 interest of a financial sort.

12 MR. WEINER: I'm Jonathan Weiner from the
13 Johns Hopkins Bloomberg School of Public Health here
14 in town and also the School of Medicine at Johns
15 Hopkins and professor of health policy and management
16 focus on outcomes and health services research. I
17 have no direct conflict of interest, although I'm sure
18 somewhere at Johns Hopkins, there might be some. But
19 that's indirect.

20 MS. PERFETTO: I'm Eleanor Perfetto. I'm

21 director of reimbursement and regulatory affairs with

1 Pfizer, and I am aware that Pfizer does own some
2 interest in some companies that may be developing
3 these kinds of tests, but it is not part of my job,
4 and I couldn't even tell you what the names of those
5 companies are. So I believe I don't have any
6 conflicts.

7 MR. GUTMAN: Hi, I'm Steve Gutman. I'm a
8 guest. I'm a professor of pathology at the University
9 of Central Florida, and I am starting to do consulting
10 through their practice plan. But at this time, no
11 conflicts of interest.

12 DR. HOLTZMAN: I'm Neil Holtzman. I'm an
13 emeritus professor of pediatrics, health policy, and
14 epidemiology at Johns Hopkins. And I ran a unit
15 called Genetics in Public Policy Studies there, and I
16 also chaired an NIHDOE task force on genetic testing,
17 which was really the forerunner of the secretary's
18 advisory committee. We recommended the secretary's
19 advisory committee. And the monograph, which is

20 available on the web, established, for the first time

21 I believe, analytical validity, clinical validity,

1 clinical utility, and ethical issues as the major
2 characteristics that should be considered in
3 developing genetic tests. And I have no conflict of
4 interest.

5 MS. MANSFIELD: I'm Liz Mansfield. I'm the
6 senior genomics and personalized medicine advisor to
7 the chief science officer at FDA in the office of the
8 commissioner. And I have no conflicts of interest.

9 DR. SATYA-MURTI: Ms. Sandra Jones, would
10 you start the presentation?

11 MS. JONES: Good morning, and welcome to the
12 Centers for Medicare and Medicaid Services. Today's
13 MEDCAC topic is screening genetic tests. I'm Sandra
14 Jones, a nurse and analyst within the coverage group.
15 We invite
16 you to share in today's MEDCAC. I will present the
17 questions and discussion section that are in the
18 context of screening genetic tests for the early
19 detection of disease.

- 20 First, consider are there differences in the
- 21 desirable characteristics of evidence about screening

1 genetic tests, versus those of screening tests in
2 general. Then discuss.

3 Next, consider and discuss what are the
4 desirable characteristics of evidence for determining
5 the analytical validity of screening genetic tests.

6 Question three part A, beyond aspects of
7 analytical validity, are there meaningful differences
8 in the desirable and/or necessary characteristics of
9 evidence about the affect of genetic testing on
10 outcomes? If yes, please consider question three
11 separately for each of the paradigms.

12 Question three part A, the testing paradigms
13 are one, early detection of disease in an asymptomatic
14 person, and two, early treatment of disease before
15 signs and symptoms are apparent.

16 Question three part B, what comparative data
17 are needed on alternative strategies for screening?

18 Question four, for each type of outcome on
19 the next slide, how confident are you that the

20 methodologically rigorous evidence on the outcome is

21 sufficient to infer whether or not screening genetic

- 1 testing is effective for the prevention or early
- 2 detection of illness or disability?
- 3 Question four, rating types of outcomes.
- 4 For each lettered outcome, assign a number from one to
- 5 five to indicate your vote. A lower number indicates
- 6 lower confidence. A higher number indicates higher
- 7 confidence. The outcomes are A, additional
- 8 confirmatory diagnostic procedure; B, survival and
- 9 other; and C, other patient-focused health care
- 10 outcomes such as functional status and incidence of
- 11 adverse events.
- 12 Question five, what are the desirable
- 13 measures of the cost effectiveness of screening
- 14 genetic tests for the prevention or early detection of
- 15 illness or disability?
- 16 Question five continued, consider ranking
- 17 one equals the lowest and three equals the highest for
- 18 the below A through C options and/or identify other
- 19 measures that would be appropriate; A, quality-

20 adjusted life years gained due to screening; B,

21 decreases in incidences of illness or disability or

1 net gains in other patient health care outcomes; C,
2 net changes in lifetime costs of illness or
3 disability, and then discuss.

4 Question six, what are the desirable
5 methodological characteristics of studies of cost-
6 effectiveness for screening genetic tests for the
7 prevention or early detection of illness or
8 disability? And then discuss.

9 Question seven, are there ethical issues
10 particular to screening genetic testing that may alter
11 the methodological rigor of the studies of genetic
12 testing? Then please discuss.

13 Question eight, does the age of the Medicare
14 beneficiary population present particular challenges
15 that may compromise the generation and/or
16 interpretation of evidence regarding genetic testing?
17 Then discuss.

18 On behalf of our coverage team, thank you
19 for your attention and participation in today's MEDCAC

20 and your patience with not being on the proper screen.

21 Next, Dr. Jeffrey Roche.

1 DR. ROCHE: Good morning everyone. Thank
2 you for coming to CMS today to discuss screening
3 genetic tests and especially the kinds of evidence
4 that CMS should be looking at in determining coverage
5 for such tests. My very brief presentation will
6 discuss only a small amount of background information
7 that may be of help to you as you consider these
8 questions.

9 A screening test is, very simply, a test to
10 detect a disease before it becomes symptomatically
11 apparent or before it demonstrates signs of its
12 presence. Under Medicare Part B, screening tests are
13 covered in order to provide disease prevention or
14 early detection services to Medicare beneficiaries.
15 In some situations, as everyone probably knows, the
16 advantages of screening tests are very clear. For
17 example, the early detection of various types of
18 diseases such as cancers can lead to far more
19 effective treatment and far better survival.

20 It is known also that there is evidence

21 about the effectiveness of screening tests. For

1 example, in breast cancer screening, it is known that
2 there is a significant reduction in the deaths due to
3 breast cancer due to screening programs involving
4 mammography. However, there is also evidence that
5 some types of screening have not proven to be as
6 effective in preventing or decreasing the number of
7 deaths. For example, using different types of
8 screening techniques to decrease lung cancer deaths.

9 Over the years, guidelines which have been
10 developed choose the appropriate screening tests for
11 their patients have been revised as more evidence of
12 their effectiveness and the age groups at which they
13 are effective becomes available.

14 Now, among the many advantages of screening,
15 certainly more efficient and less risky treatment as
16 well as the prevention of loss of years of life and
17 the contributions of individuals are major advantages.
18 However, screening is not without some disadvantages.
19 There are risks to the person who has been screened.

20 There may be risks in terms of the amount of resources

21 that must be committed for the screening of a

1 substantial portion of the population.

2 Now, as I mentioned before, Medicare already
3 covers a number of screening tests. Some of these are
4 shown on the screen. And, as you can see, not all of
5 them are laboratory tests. Some are imaging
6 procedures, some are special clinical examinations
7 such as sigmoidoscopy. But recently, due to the
8 enactment of what's call the Medicare Improvements For
9 Patients And Providers Act of 2008, a particular
10 section, section 101, authorizes the Secretary of
11 Health and Human Services to consider additional
12 preventive services benefits under Medicare, if
13 certain conditions are met.

14 In particular, MIPPA 101 suggests that those
15 conditions are the following: a newly proposed
16 benefit that provides preventive services must be
17 reasonable and necessary for prevention or early
18 detection of an illness or disability. It must be
19 recommended by the U.S. Preventive Services Task

20 Force, with either a grade A or grade B

21 recommendation, and it must be appropriate for persons

1 eligible for Medicare parts A or B. In addition,
2 it must be considered within the framework of the
3 National Coverage Determination Process. And finally,
4 section 101 authorizes the secretary to assess the
5 relationship between the predicted outcomes and the
6 expenditures for such preventive service and may take
7 into account this relationship in making a
8 determination about its coverage under Medicare.

9 Now, for other NCD processes, as you
10 probably know, evidence plays a large role in what we
11 do at CMS. And this will also be true for any future
12 considerations we may do of genetic tests that are
13 being used for screening purposes.

14 In particular, we will be looking for
15 evidence concerning benefit and especially net
16 benefit. That is, the degree, extent, or amount to
17 which a screening service accomplishes good, in
18 contrast to the harm or harms it may be associated
19 with.

20 A number of authors have suggested that

21 there are particular needs for stringency in examining

1 evidence about screening tests. For example, on this
2 slide, some well-known authors in the area of clinical
3 epidemiology have suggested that a well-conducted
4 randomized control trial is the best design for a
5 clinical study, which minimizes bias in considering
6 the benefits of screening tests. In fact, these
7 authors have proposed several designs, which may prove
8 useful in such clinical studies.

9 In addition to the other sources of
10 evidence, on a conceptual level we actually have seen
11 some large-scale trials reporting recently, on at
12 least their preliminary experience, as far as the
13 efficacy of screening testing. And some of these, as
14 you will see, are not based specifically on genetic
15 testing, but on other types of screening. For
16 example, the use of prostate specific antigen
17 screening for the detection of prostate cancer.

18 This article, for example, which was
19 published about a couple of months ago, showed that

20 after seven to ten years of post screening follow-up,

21 there was no significant difference in the number of

1 prostate cancer deaths in the screening group as
2 opposed to the control group.

3 A second study, which was done in a somewhat
4 different manner, but involved a great many more
5 participants and also had a longer follow-up period,
6 showed that there was a protective effect of PSA
7 screening, especially in the screening group, which
8 becomes apparent only after ten years of follow-up
9 post screening.

10 The authors of this study concluded that
11 PSA-based screening for prostate cancer was associated
12 with a 20 percent reduction in deaths from prostate
13 cancer. There were a variety of sources of other
14 evidence about screening and its effectiveness. We
15 are indebted to our colleagues at the Agency for
16 Healthcare Research and Quality for the many
17 technology assessments and other studies they have
18 done on this area, especially in the area of cost-
19 effectiveness.

20 Other groups, of which we'll hear more from

21 later speakers, including the EGAPP working group at

1 CDC and the U.S. Preventive Services Task Force, have
2 published reviews of evidence about screening tests
3 and recommendation about their use in clinical
4 circumstances.

5 Finally, CMS is very aware that with the
6 onslaught of information about genetic conditions that
7 may lead to serious diseases that may afflict Medicare
8 beneficiaries, it is important for us to continue to
9 be aware of new developments that may provide benefits
10 for our beneficiaries.

11 For example, screening for certain alleles
12 of the gene may provide information on the relative
13 risk of Alzheimer's disease. Based on your
14 discussions and recommendations today, CMS will
15 evaluate the evidence on such screening tests and will
16 determine whether genetic tests used for screening
17 purposes are appropriate for Medicare coverage.

18 Thank you again.

19 DR. SATYA-MURTI: Dr. Feero, you're next.

20 It will be good to have the next speaker waiting

21 towards the end of the previous speaker to save us a

1 little ambulatory time.

2 DR. FEERO: I need my slides, however, so I
3 got a little scared this morning when I handed in my
4 thumb drive, and they said, "We can't take that." And
5 I said, "Uh-huh, I'll wave my hands." And it turns
6 out that what I sent them in on Monday they already
7 had them loaded, which is great.

8 So, I really want to thank all of you for
9 the opportunity to come before you today. You know,
10 in terms of conflict, I have to say that, in fact, I'm
11 inherently conflicted, I guess, because I work at the
12 National Human Genome Research Institute. And it
13 tends to be that my boss thinks that genetics might
14 actually be useful ultimately in health care. But in
15 terms of actual financial conflicts, I obviously have
16 none.

17 And the fair number of slides I'm going to
18 go through this morning for you -- I want to say that
19 I think my presentation you're going to find is

20 probably a foundation for your discussion today. If

21 you remember sort of one point before you leave --

1 from my talk today, it's the idea that you have to be
2 able to walk before you can run. And that's why I'm
3 going to give you an update on family history.

4 So, genetics is clearly in the air right
5 now. You can't hardly pick up a major media outlet
6 and not find a story. And in the last week, there
7 have been a number of things on CNN, New York Times,
8 just a vast barrage of new findings. And there's a
9 good reason for this; because in the last few years, a
10 type of study called the genome-wide association study
11 has really broken open the genetics of complex common
12 conditions.

13 And prior to about 2005, most genetics and
14 genomics was really restricted to understanding of
15 single-gene disorders. And really, we've made great
16 inroads into the things that really matter most in
17 terms of public health impact: heart disease,
18 diabetes, stroke, Alzheimers, et cetera, et cetera.

19 And this slide summarizes the number of

20 conditions for which there are now robust, disease

21 gene associations since 2005. And it's really, really

1 remarkable. It's literally a tidal wave of new
2 discovery. And on this list you'll see things here
3 that probably account for the top ten causes of death
4 in the United States aside from things like trauma, et
5 cetera, et cetera.

6 And this wealth of new discovery has led a
7 number of organizations to try to move this
8 information into the health care arena through so-
9 called genome-wide scans, where essentially you can
10 purchase for a relatively low price a measurement of a
11 number of these genetic markers, literally thousands
12 across your genome. And the companies will provide
13 information that purportedly tells you a little bit
14 about your risk for common complex diseases.

15 And this has obviously caught media
16 attention. Time's best invention of the year in 2008,
17 was the retail DNA test. However, there's a lot of
18 controversy surrounding the use of these types of
19 tests in this way at this point in time. And I think,

20 the vast majority in the scientific community feels

21 that this type of testing at this point is really

1 premature for use in mainstream medical care.

2 This title doesn't quite get it right with
3 the New York Times, but it's discussing a series of
4 New England Journal articles that really point out the
5 fact that as of right now, although these disease gene
6 associations are robust, they, in fact, predict only a
7 limited amount of the inheritability of conditions
8 such as type 2 diabetes. And we really need to learn
9 more about what those other genetic factors are to
10 gain a better picture of how to use this in health
11 care.

12 So, all kinds of questions should be
13 floating about in your mind with regard to genetic
14 tests in mainstream health care. And for the genome-
15 wide scans, particularly, these come to the fore.

16 I'm going to try to convince you this
17 morning that family history is still the cheapest,
18 most accessible, most time-tested way to get a rough
19 estimate of the genetic component of disease risk.

20 And it's not going to go away in terms of its

21 importance.

1 If you look at the top ten causes of death
2 in the United States, in red you'll see things that
3 family history clearly plays a role in helping to
4 predict risk. And you could argue that, in fact,
5 influenza and pneumonia and septicemia are also
6 influenced by familial factors. And we don't
7 understand those quite as well as perhaps some of the
8 others.

9 The public, in general, recognizes the value
10 of family history. In terms of health, this is some
11 very recent data from Oregon that shows that of the
12 folks that they surveyed, 99 percent agree that family
13 history is important to their health and up to two-
14 thirds have collected some family history information
15 -- about two-thirds relative to their health and
16 family history.

17 So aside from the use of family history and
18 risk assessment, family history has a rich tradition
19 in health care of providing other useful bits of

20 information. It helps you understand family

21 structures, relationships. It helps you learn about

1 patient concerns.

2 I think as clinicians, most of us have the
3 experience of a, you know, 40-year-old male, who's
4 otherwise healthy, coming into your office for their
5 physical. It's either their wife sent them in, or my
6 brother just got diagnosed with X, Y, Z. It helps to
7 inform differential diagnosis, case finding, and
8 finally risk assessment.

9 I would argue that as we think about the
10 medical home, family history clearly has to be a
11 foundational piece of that medical home model in
12 reforming health care.

13 Family history is also fairly well accepted
14 in terms of a genetic test by the medical community.
15 If you look at the continuum and the type of tests
16 that are out there, I think most clinicians would not
17 argue with you that family history is a fairly well
18 accepted part of the armamentarium.

19 And the risks conferred by family history

20 are actually pretty substantial. In comparison to,

21 say, a single nucleotide polymorphism associated with

1 a common complex disease, a single first-degree
2 relative confers these types of elevations in risk.
3 And that's just a single first-degree relative.

4 In families that have, perhaps, more single-
5 gene-based risk for complex disorders like colorectal
6 cancer, the risks may be vastly higher than that, if
7 there's the correct pattern of inheritance in the
8 family.

9 Another rap against family history has been
10 the sort of sensitivity and specificity of patient-
11 provided information. I think in the last few years,
12 you've seen some reports out of AHRQ looking at the
13 evidence about this. And it's actually not too bad.
14 The sensitivities and specificities for four selected
15 cancers, looking across all relatives in families in
16 the studies that they were able to look at, you see
17 they're comparable to many of the screening tests we
18 consider part of routine care at this point in time.

19 Additionally, there are a variety of

20 clinical guidelines that utilize family history

21 information. In fact, they're integral, and we'll get

1 back to the USPSTF in a while. And clinicians
2 obviously have to react to these guidelines.

3 Despite that, family history collection is
4 actually quite poor by primary care clinicians. And I
5 would argue that it's going to get worse, with the
6 advent of electronic health records, as most systems
7 are not well set up to enable clinicians to collect
8 family health information.

9 So what are some possible keys to increase
10 utilization of family history in our health care
11 system? One is to work on improving the evidence base
12 and education of clinicians and the public regarding
13 family history. And there have been a number of
14 activities ongoing on the federal level, state level,
15 and private sector to do so.

16 This is just an example of family health
17 where a trial at the CDC has recently conducted --
18 where they used an electronic web-based family history
19 tool to provide risk assessment, deployed in a

20 population essentially to look to see if they could

21 see any changes in outcomes.

1 The information -- this is very preliminary,
2 this slide -- and I'm sure it will probably be in your
3 packet. Very preliminary, but it's promising. The
4 papers are going to be rolling out in the next several
5 months to a year with regard to this trial.

6 Later on this summer, the NIH is sponsoring
7 a State of the Science Conference to try to bring
8 together a broad picture of what we know about the use
9 of family history as a screening tool in medical
10 practice, particularly in the primary care setting.
11 That's slated for August 24th to the 26th, 2009.

12 It's a public meeting. You're all welcome
13 to attend. There's a very, very large AHRQ literature
14 review that goes into this, as well as 21 speakers
15 that will be presenting on family history information.

16 So the key questions are things that I think
17 you'll find are important to what you're considering
18 today. What are the key elements in a primary care
19 setting of a family history, the necessary for risk

20 assessment purposes. And I won't go through these

21 slides in any detail. Again, they're in your packet.

1 That's why I put in a lot of slides to give you the
2 information.

3 But I think they're going to be -- the
4 conference will address a lot of questions that are
5 really key for you determining the usefulness of
6 family history in primary care as a screening tool.

7 So next step: remove barriers to
8 collection. Well, there are a variety of reasons why
9 family history isn't collected. But I think they all
10 relate back to time and money.

11 Collecting a good family history is actually
12 probably more time-consuming than most primary care
13 clinicians are willing to invest. In the context of
14 an office visit, the general average is, you know,
15 seven to ten minutes. And it takes a good 20 minutes
16 to collect a good family history.

17 So the U.S. Surgeon General's office in
18 recognition of this has been working over the last
19 several years to develop sort of alternative ways to

20 get family history information into the health care

21 system. And one of these is through the development

1 of the surgeon general's My Family Health Portrait
2 Family History tool, which essentially is a patient-
3 interfacing, web-based, family history tool that allows an
4 individual, sort of, in the context of their home
5 environment, when they're relaxed, they're not
6 thinking about 14 other things in the doctor's office,
7 to complete their family history and then communicate
8 that to their health care provider.

9 In the recent past that tool is updated, so
10 that it now offers connectivity to PHR and EHR
11 systems. And I think this will be a major advance.
12 In the past, the tool was sort of a stand-alone
13 entity. The individual that used it to complete their
14 family history was essentially forced to print it out
15 and then hand-carry it to their doctor.

16 The tool is now available to be embedded
17 behind fire walls, for example, in a PHR system, and
18 to be seamlessly connected to the EHR system.

19 So other barriers -- concerns about genetic

20 discriminations. Two thousand eight was a landmark

21 year. It saw the passage of the Genetic Information

1 Non-Discrimination Act, which prohibits the use of
2 genetic test information including family history in
3 pre-symptomatic individuals for discrimination in the
4 setting of employment or health insurance. So that
5 barrier has, at least, been reduced. I'll admit it's
6 not entirely removed as an issue, but it's been
7 reduced.

8 Additionally, there have been concerns about
9 the use of family history and how HIPPA rules would be
10 viewed with this. This year there was actually --
11 yes, it was this year. Two thousand nine, OCR, the
12 Office of Civil Rights, put out some guidance on the
13 use of family history tools, such as the family health
14 portrait tool of the surgeon general, which strongly
15 suggests that family history information is not
16 inherently different than other types of personally
17 identified information.

18 In fact, you can as a patient provide your
19 doctor with your relatives' family history

20 information, their names, et cetera, and doctors can

21 share that information as they would, under the same

1 sort of rules they would any other bit of your health

2 care information.

3 So help with interpretation. Well, the next

4 step for the surgeon general's tool is to throw a rock

5 in the pond around developing clinical decision

6 support tools that can operate off of a web-based

7 method for collecting family history from patients.

8 So right now, the CDC, the National Cancer

9 Institute, the National Human Genome Research

10 Institute, and the Office of the Surgeon General are

11 partnering to look at taking colorectal cancer as a

12 model, developing some appropriate risk algorithms to

13 apply to family history information, and then building

14 essentially an electronic tool to enable health care

15 providers as well as patients to better understand

16 their familial risk at the point of use with such

17 tools as the My Family Health Portrait tool.

18 So I spoke really quickly to get to this

19 point, value in collection. This is, I think, a

20 fundamental issue with regard to family history

21 information and the fact that our health care system

1 is so time conscious at this point and, in general, in
2 the primary care setting, operating with narrow
3 margins, in terms of their ability to recuperate costs
4 for doing something extra.

5 So is this a possible role for CMS? One,
6 you could think that CMS would be able to incentivize
7 collection of structured inter-operable family history
8 information, like that collected by the surgeon
9 general tool. By all means, it doesn't have to be
10 collected by that tool, but information that can be
11 imported into say an electronic health record and
12 moved around effectively as a patient moves from
13 provider to provider.

14 This could be done through PQRI. And I know
15 this is a huge hurdle, but possibly a new code for
16 collection of complete, whatever that means. And I
17 think that's a point for debate of family history
18 information.

19 I think traditionally CMS to me has seemed

20 fairly conflicted on this issue. And looking at what

21 Dr. Straube said at SACGHS in March, I think you can

1 see that in his slides. You found the following two
2 statements. For example, Medicare does not cover
3 genetic tests based on family history alone. And I
4 would challenge you to say that in the year 2009, that
5 you may need to rethink this versus this new issue
6 that's come up with MIPPA, about considering
7 preventive services that either get an A or B rating
8 from USPSTF.

9 Well, let's take a look at USPSTF
10 guidelines. Overtly, family history is mentioned as
11 important in the consideration of breast cancer
12 screening. Somewhat less overtly it's mentioned in
13 the lipid screening recommendations, where family
14 history is considered one of the cardinal risk factors
15 for starting screening early for hyperlipidemia.

16 It's mentioned in the colorectal cancer
17 screening guidelines, in that you can't apply the A
18 guideline unless you know the family history because
19 those folks that are at risk because of elevated -- of

20 a familial pattern of colorectal cancer should be

21 starting screening earlier than these guidelines

1 recommend.

2 For triple A screening, it's also buried in
3 the guidelines, that family history is a consideration
4 for screening for that. And then for a whole host of
5 other disorders, some like newborn hearing screening
6 aren't terribly relevant to Medicare, but family
7 history is clearly an integral part of what USPSTF
8 guidelines have to say.

9 And I want to point out to you that, in
10 fact, you cannot use the USPSTF guidelines unless you
11 have family history as a foundation because there are
12 caveats to many of the guidelines that say, you know,
13 if there's a family history of X and such, then this
14 guideline does or does not apply.

15 So other things CMS could be involved in.
16 There are a number of demonstration projects ongoing
17 around the country with CMS working with personalized
18 health record-type systems. It would be, I think, a
19 fairly easy slam dunk to incorporate some family

20 history tools into those demonstration projects and to

21 see what public acceptance is, what utilization is

1 like, how does that information migrate to health care
2 providers. I think we could really learn a lot and
3 provide direct benefit at the same time.

4 And again, we have a tool that would be
5 relatively easy to do that with. Again, I have no
6 financial interest. Nobody has any financial interest
7 in this tool. I'm just saying it's there, it's ready,
8 you could use it.

9 So finally, if CMS could do coverage with
10 evidence determination for widget X, why not for
11 family history tools? And I actually did these slides
12 over the weekend in the Denver airport, so I hadn't
13 known that this Warfarin statement would come out. So
14 I feel a little like I'm repeating something that just
15 was said. But, you know, certainly I think
16 there's opportunities here for doing things with, say,
17 clinical decision support tools that provide risk
18 assessment in a coverage with evidence determination
19 setting that could really advance our understanding of

20 utility of family history.

21 And, you know, as with many things in

1 genetics, we're sort of at the point where we think
2 that there is potential benefit. This is again from
3 one of the AHRQ literature reviews. They came up with
4 a conclusion when they looked at risk assessment
5 tools, that the number of them in develop -- they look
6 like they're promising in preliminary studies, but in
7 the real world, we don't know absolutely what the
8 utility of the risk assessment tools are.

9 And I would argue that, in fact, well-
10 controlled, randomized controlled trials, we'll never
11 know what the real world utility of these tools are.
12 And I think that's the beauty of coverage with
13 evidence determination.

14 So, conclusions; family history is really a
15 versatile and potentially powerful tool for improving
16 health care. It's not only relevant to risk
17 assessment for common complex conditions, but it
18 provides a whole host of other functions in medicine
19 that are sort of squishy to try to quantitate, but

20 they're there and are quite important.

21 In the long run, family history will not be

1 supplanted by genetic testing. Family history will
2 always be important to contextualize genetic test
3 results and to help guide their selection. Even with
4 the advent of genome-wide scans and full genome
5 sequencing, we're still going to need to know family
6 history information.

7 And finally, I think there are numerous
8 opportunities for CMS to advance the use of family
9 history in health care and, ultimately, improve how we
10 deliver health care to the American public.

11 Thanks.

12 DR. SATYA-MURTI: Thank you, Dr. Feero. We
13 are somewhat ahead of time. And if there are very
14 focused questions on this particular presentation, we
15 may have a few minutes for that. I do have one I wish
16 to ask you briefly. Thanks for that refreshing re-
17 visit on family history and your middle bullet that
18 it's not so likely to supplant genetic testing.

19 One concern with Medicare age group

20 particularly, is one of the reasons of bedside family

21 history gets de-emphasized is patients are already

1 symptomatic. So seldom do they need that prior
2 probability force to engage one to go on to test.

3 So in the Medicare age group, at least
4 family history is important, but they have become
5 symptomatic again. Our purpose today

6 DR. FEERO: Well, prior to coming to the
7 Genome Institute, and actually while I was at the
8 Genome Institute, my real life is actually as a family
9 physician. And I'll tell you, while I was in practice
10 in Maine, about 60 percent of my patient panel had
11 Medicare. And it's true, many of them did have
12 diagnoses. But a lot of Medicare work actually occurs
13 in the ambulatory setting where family history is
14 important.

15 And, in fact, I would also argue that family
16 history is frequently used sort of subliminally at
17 least, by health care providers, in the setting of
18 symptomatic individuals to sort of provide again that
19 pretest probability, e.g. the individual who presents

20 with chest pain in the E.R. of unclear ideology. And

21 you're sort of sifting through what is the likelihood

1 that this is due to a cardiac origin.

2 Somewhere in the back of your mind you're
3 thinking, oh, you know, do they have a family history.
4 And most E.R. docs do ask that question, at least.
5 Wouldn't it be nice if we had that sort of embedded in
6 our health care system so that it didn't get asked
7 -- every time someone went to the E.R., didn't get
8 asked every time? You know, that there was sort of a
9 bedrock of that information that everyone could draw
10 on, much as you'd draw on any allergy list.

11 DR. DANIS: I'd like to follow up on the
12 last question. I'd like you to say something about
13 whether the primary care setting, where you're taking
14 care of a geriatric patient, is going to be -- whether
15 the care of patients in that setting is going to be
16 better if you're screening for family history or if
17 you're screening for other things in this population,
18 like falls, nutritional deficiency, loneliness,
19 confusion.

20 I think there are a lot of things that we

21 need to be screening for in this population. And I

1 worry about the opportunity costs of spending time on
2 a family history when there are so many issues that
3 need to be screened for in this population.

4 DR. FEERO: I as well. And I'm sure you're
5 familiar with the publications of Yarnell and others
6 that take the USPSTF guidelines and try to apply them
7 to a patient panel of about 2500 and find out that it
8 would take one doc working eight hours a day for an
9 entire year to do that alone, without regard to any of
10 the other considerations for care in that panel.

11 I can only point out that again, many of
12 those other screening issues are modified by having
13 family history information. So you say screening for
14 depression -- well, in fact, I think if you look,
15 there are probably some recommendations somewhere that
16 utilize family history as part of what you do in this
17 screen.

18 I would also suggest to you that family
19 history is somewhat more -- although there's clearly

20 need for updates of family history, somewhat more of a

21 one-off than say, fall-risk screening, for example,

1 which really probably ought to be occurring with each
2 of the visits of your geriatric patients.

3 And so I think there are ways to mitigate
4 this. And also the idea of moving the family history
5 collection somewhat offshore, putting it more --
6 empowering sort of the patients to do some of the
7 collection for the clinician so that either the
8 clinician or their office staff isn't fully tied up
9 with the basic gathering of the data and further
10 improving how that information is managed. Using
11 electronic clinical decision support tools could
12 mitigate, you know, the impact. But I agree it's an
13 issue, and it has to be considered.

14 DR. HOLTZMAN: I'm just wondering why we
15 don't consider family history as a screening tool
16 itself, particularly in the context of Medicare,
17 whether if we were to recognize this as something
18 reimbursable, it wouldn't encourage the use of family
19 history to a greater extent than it's used now.

20 DR. FEERO: That is, in fact, why I put up

21 the idea of a code of collection of a complete family

1 history. However, as we found out in trying to frame
2 the AHRQ literature review on family history for this
3 family history in improving health conference, it's
4 pretty hard to wrap your brain around the entire
5 spectrum of family history.

6 You know, I sat down, and talked at some
7 length with Al Berg and others involved in USPSTF,
8 sort of framing their guidelines, and said, so why
9 haven't you guys ever come out and said it makes sense
10 to take a family history? And they said, well,
11 because, you know, it's hard to say that. It makes
12 sense in what context, for what disease? So what
13 they've done is sort of by piecemeal put family
14 history in as being important to a huge number of
15 guidelines.

16 And I think it's -- that's the way it's
17 evolved. And I guess I would argue we're at the point
18 right now to think about doing exactly what you said,
19 which is to say, look, there are all these USPSTF

20 guidelines out there that say we should have family

21 history information in order to interpret and use the

1 guidelines. Maybe we ought to just, you know, bite
2 the bullet and say, this needs to be in there. We
3 need to find a -- this information needs to be in the
4 record. We need to find a way to incentivize it
5 getting there.

6 DR. HOLTZMAN: You've already got this, what
7 you call, this new interoperable tool for patients to
8 fill out. So obviously, there's a lot that's been
9 done already to try and delimit to some extent family
10 history and still make it a useful tool.

11 DR. FEERO: Correct. We tried.

12 DR. SCHEUNER: Thanks, Dr. Feero, that was a
13 very nice presentation. So if you could just answer
14 for me one question. I'm trying to help the panel
15 think about genetic tests for common diseases.
16 Clearly in the Medicare population, which is generally
17 an older population, the complex disorders, multiple
18 genes interacting with each other and environment,
19 family history can represent in large part some of

20 those genetic factors.

21 But also you gave an example with BrCA1 and

1 2 and hereditary non-polyposis colorectal cancer,
2 where family history is instrumental in identifying
3 those individuals in the population who might have a
4 single-gene disorder that's highly penetrant. So it
5 seems to me there are two different roles here for
6 family history. One is possibly to identify these
7 rare, single-gene disorders that are highly penetrant
8 and the other is for the complex disorders.

9 And as we think about our charge today, I
10 don't know if you could -- maybe should we be thinking
11 about these things in two different ways, or could you
12 comment?

13 DR. FEERO: Well, I agree there's very much
14 sort of two different ways to approach this. And
15 clearly you would like to find those people with the
16 rare, truly syndromic types of risk for various
17 disorders, whether it's breast cancer or colon cancer,
18 because there's clear-cut evidence that if you can
19 identify those people and get them into proper

20 screening pathways earlier, that you actually improve

21 morbidity and mortality for both breast cancer

1 syndromes and hereditary colorectal cancer syndromes.

2 The issue there is on a population basis,
3 you're applying sort of a fairly wide-toothed comb to
4 catch something that's pretty uncommon. But I think
5 if you put the two together and say, you know, looking
6 at the more common things and as a by-product, we pick
7 up these rarer things in the process, then it becomes
8 a very reasonable proposition to incorporate that.

9 I think it might be hard to make a case to
10 say, you know, we're going to design and deploy a tool
11 that only looks for hereditary breast and ovarian
12 cancer syndrome and apply it to an entire population.
13 But if you say that by deploying that tool we might
14 enhance baseline screening rate, mammography screening
15 rates, and even average and slightly elevated risk
16 individuals, then the value proposition for that tool,
17 I think, is much more favorable in terms of its use in
18 populations.

19 So I would argue for hereditary colorectal

20 cancer that's particularly the case. If you could use

21 such a tool as a framework for discussing risk

1 screening for those people who are an average risk or
2 very slightly elevated risk or moderately elevated
3 risk, those folks, say, that have a single first
4 degree relative for colorectal cancer, you might move
5 the needle in terms of screening on a much larger
6 segment of the population.

7 And so I think it's actually important to
8 recognize that there are two sort of distinct
9 potential roles for family history. But then when
10 you're considering the value, you want to probably try
11 to merge those two things together in your thinking.

12 DR. SPERTUS: I had just one quick question.
13 I thought it was a terrific presentation. You clearly
14 underscored the importance of family history. And,
15 you know, just conceptually and maybe other colleagues
16 here at Medicare should, you know, explain this to me.
17 It seems like there are two separate themes to this.
18 One is the need to collect the data and, you know, I
19 applaud the efforts to make an inter-operable system.

20 But with, you know, Google Health and Microsoft Health

21 Vault and other things, there's a lot where the locus

1 of responsibility could be with the patient to collect
2 and assimilate it. And that really it's the review of
3 a detailed family history that ought to be endorsed
4 and supported. And whether or not that ought
5 to have a separate code, you know, we don't break
6 apart lots of aspects of the patient/doctor encounter,
7 such as, you know, did I listen to the heart? Yes. I
8 get 35 cents for that. Did I listen to the lungs? I
9 get 75 cents for that. You know, it seems like it's
10 part of a complete visit.

11 And so I'm struggling because I think it is
12 absolutely essential. It's going to lay the pretext
13 for all of the sort of, you know, appropriate patients
14 that would warrant genetic testing. We'll mandate as
15 a part of that a very thorough review of an accurate
16 family history. But is that a separate Medicare code,
17 or is that just part of good clinical care, and that
18 there ought to be a major public health effort to get
19 the documentation of a thorough family history on an

20 open-source document?

21 DR. FEERO: And I too have struggled in my

1 thinking on this particular issue. You know, the
2 situation as you and I probably know it at this point
3 in time is when you admit someone to the hospital, all
4 you need to say is family history of diabetes, and
5 you're done. Clearly that's insufficient to drive
6 this type of comprehensive care in a medical center or
7 home.

8 Family history information, to take a good
9 family history actually takes 20 minutes. It's
10 probably not something that you're going to get many
11 primary care providers to do, when their average
12 physical exam is only about that long let alone an
13 acute-care type of visit.

14 And family history information gathering at
15 least, unlike, say, the clinical exam could be in some
16 ways distinct from the provision of the office visit
17 service itself. So that if you could find a way to
18 incentivize simply having that information, it would
19 sort of unleash sort of more novel models for

20 gathering that information than having the, you know,

21 very valuable health care provider time sort of being

1 ascribed for asking the questions of the patients.

2 And I think there's preliminary evidence to
3 show that electronic tools for collecting family
4 history or paper-based tools for that matter, are
5 actually no better or worse than asking the patient
6 face-to-face in a primary care visit if they have a
7 family history of X, Y, or Z .

8 And so I think given that there is some
9 evidence to suggest equivalency of sort of novel ways
10 to collect it outside of the office visit, the fact
11 that it is a fairly well-circumscribed body of
12 information, and it does take some time and really is
13 foundational, I think argues that it may be worth
14 considering ways to incentivize it distinct from the
15 collection of the history of present illness, which is
16 clearly, you know, something that is immediately of
17 interest to that particular visit.

18 It's clearly part of -- going to guide the
19 physical exam immediately. It may be important to

20 long term care that particular history, it might not

21 be. It might be for a sore throat, whereas the family

1 history information you gather is not only relevant to
2 that visit, it's relevant to the next 20.

3 DR. SATYA-MURTI: One more comment, and then
4 we'll go onto the next.

5 DR. SALIVE: As a CMS representative, I just
6 wanted to try to clarify. I think that the focus of
7 this panel is not to discuss whether we should add any
8 new codes to the program. I think it's a provocative
9 point and well taken, and I think is foundational to
10 some of the discussion, and I think has raised some of
11 the key issues for the panel.

12 But we don't need to dwell on that. I do
13 note that someone in the audience is from CMS who
14 deals with coding issues. So probably it's been
15 heard, and we can move on. But thank you, Dr. Feero,
16 and thank you panel.

17 DR. SATYA-MURTI: Thank you very much. Yes.
18 It would be a nice day when we get incentivized to do
19 family history. AMA will have a lot more work to do.

20 Dr. Teutsch is next.

21 DR. TEUTSCH: Thank you so much. I'm Steve

1 Teutsch with the Los Angeles County Department of
2 Public Health, and it's a particular pleasure to be
3 speaking to you about something other than influenza
4 today. I've been involved with preventive services
5 for a long time. I was on the U.S. Preventive
6 Services Task Force until recently; was involved with
7 the panel on cost-effectiveness as well. So many of
8 the issues we're talking today are very, very
9 relevant.

10 I also chaired the secretary's advisory
11 committee on genetics, health and society, which has
12 recommended to CMS that they look into these issues.
13 So we are particularly gratified about the meeting in
14 February and the one here today.

15 I'm actually here to talk to you about
16 something a little different and that's the work of
17 EGAPP and how EGAPP looks at evaluating genomic tests
18 for screening.

19 We sort of -- I sort of think about the

20 translational process as you go from gene discovery

21 and health application on into practice and then sort

1 of looking at what the population impacts are as
2 having the evidence-based guideline at the fulcrum.
3 That is, the key part as you move out of the primarily
4 research environment into the primarily care
5 environment. And the question becomes, where do you
6 place that fulcrum, and how high does the evidence bar
7 need to be for different types of applications?

8 So as one moves that fulcrum to the left so
9 that one introduces technologies earlier, we tend to
10 have less information than we might like on clinical
11 validity. There's frequently no information available
12 on clinical utility. This is likely to lead to lack
13 of coverage because we don't understand these things,
14 that there's the potential for increased harms, but
15 there's also the potential for increased benefits
16 because you get them out there earlier where they can
17 be used.

18 So the use, in fact, as you move upstream
19 tends to be based on pathophysiologic logic,

20 pathophysiologic reasoning, expert opinion and so

21 forth. Some people think that moving that bar farther

1 to the left also stimulates innovation because you can
2 get technologies introduced more quickly. Whether
3 that's true or not, I guess, remains to be seen.

4 The flip side of that is, if we move that
5 fulcrum farther to the right, we often have much more
6 information about those tests before they get
7 introduced into practice broadly. And that helps
8 understand the validity of the tests, helps us
9 understand the utility better. Clearly if you
10 understand that and they are valid and useful, that
11 provides a basis for better reimbursement.

12 But it takes longer to get them introduced,
13 which may have the -- disincentivize the developers.
14 The good news on that is, as you move it farther to
15 the right, there's less potential for harms, and
16 there's the flip side, of course, of diminishing the
17 benefits along the way as well.

18 So partly to begin to address this set of
19 issues across sort of a variety of applications of

20 genomics, in 2004 CDC convened a panel, which is the

21 evaluation of genomic applications in practice and

1 prevention, which was basically to begin to apply
2 evidence-based processes to evaluating genetic tests
3 and other genomic applications.

4 We are a work group, which is a 13 member,
5 independent, non-federal panel analogous to the U.S.
6 Preventive Services Task Force. That is, it's not
7 really advisory to the government.

8 We adapted the methods of the U.S.
9 Preventive Services Task Force in many ways. And one
10 of the key things of the U.S. Preventive Services Task
11 Force has been to assess the balance of benefits and
12 harms to assess the net benefits.

13 And because of the particular issues
14 surrounding use of technologies in asymptomatic
15 populations, we pay particular attention to the
16 potential harms in our assessments. The group has
17 used the ACCE framework that you heard a little bit
18 about from Dr. Holtzman earlier, as a framework for
19 beginning to think about this. And that's one of the

20 differential points with the Preventive Services Task

21 Force, which usually takes analytic validity for

1 granted.

2 We conduct systematic reviews of the
3 evidence, and then we make evidence-based
4 recommendations. And I'd like to talk to you a little
5 bit about sort of how that goes -- how we've been
6 doing that.

7 Basically the work group started by
8 establishing methods and process and then selected
9 topics for review. The task force itself -- the work
10 group itself doesn't generally do the evidence
11 reviews, but we do serve as technical experts for
12 commissioned evidence reviews and try and provide some
13 oversight to the reviews that are actually done.

14 We then developed conclusions or
15 recommendations based on the evidence, and then
16 provide guidance and feedback on other project
17 activities that relate to dissemination translation
18 and so forth.

19 Many of you may have seen this publication

20 earlier this year, which was basically a way to

21 disseminate our methods to this point. This is a

1 demonstration project, so this is a work in progress.
2 But nonetheless, we try to lay out the methodologies
3 that we have developed today so that they could be
4 reviewed, critiqued and so forth. And those are the
5 methods that we have been applying.

6 I want to be clear though that EGAPP's
7 looking at a whole variety of application of genomic
8 tests from diagnostics, disease screening, risk
9 assessment, prognosis and predicting treatment
10 responses. So we're looking at a variety of things.
11 Clearly today we're focusing on one aspect of that
12 which relates to screening in an asymptomatic
13 population.

14 But what I want you to focus on in this
15 particular discussion, is the fact that we've tried to
16 look at what are the standards that should be in place
17 for assessing clinical validity and clinical utility
18 under each of those scenarios.

19 So for disease screening, we really want to

20 know about the association of the test result with the

21 disorder. And for clinical utility, we basically look

1 at improved health outcomes as the sine qua non and
2 the usefulness for clinical decision making.

3 And I want to point out, this isn't just
4 about getting information. We've had long discussions
5 about what the appropriate outcome should be for
6 assessing the value of these tests. And basically it
7 is to look at the clinical outcomes or the clinical
8 use of these tests and not just for simply generating
9 information that may be useful for people for other
10 reasons.

11 I'm taking a simple example here because
12 it's easier than the next slide I'm going to show you.
13 And this was one of our initial evaluations, to look
14 at, sit for, 50 testing in adults with non-psychotic
15 depression. And what I want to point out is, that for
16 each of the clinical scenarios and tests that we want
17 to look at, we create an analytic framework, which
18 basically lays out the logic of our thinking and
19 identifies the key questions which are shown here in

20 numbers that we want to answer.

21 So really what we'd like to know is the

1 answer to number one. We'd love to have studies that
2 look at adults with non-psychotic depression who are
3 entering SSRI therapy and get tested. And then we
4 would like to leap all the way to the end and look and
5 see whether they have improved outcomes, which in this
6 case were defined as symptoms of depression, shorter
7 time to response, fewer drug reactions.

8 As you're well aware, we rarely have studies
9 that look at that overarching question. So what we do
10 is, we then break these questions -- break that down
11 into a series of steps, looking at whether or not the
12 test can properly identify the genotype, which is the
13 analytic validity question, and then categorize the
14 phenotypes to see how that relates to predicted drug
15 efficacy and adverse drug reactions, which are things
16 that we would like to avoid and whether that then
17 affects treatment decisions and whether those
18 treatment decisions then affect the outcomes that
19 we've defined.

20 So we look for the entire chain of evidence,

21 and we look at the evidence on several dimensions. We

1 look at the -- as we do systematic searches, we look
2 at the quality of the individual studies. We look at
3 the quality evidence of each of the linkages and the
4 answers to the questions in general, as well as then
5 whether we have a coherent, consistent body of
6 evidence that allows us to connect all the dots in
7 that pathway.

8 This is one that's a bit more relevant to
9 screening. It's a bit more complicated because it's
10 related to genomic tests for ovarian cancer and
11 detection and looked at a variety of things beyond
12 just the screening issue. But what I want to point
13 out here without walking you through all of that is to
14 say that we basically construct the same type of logic
15 framework for a series of -- for each of the steps.
16 In this case, all the way from an asymptomatic
17 population of adult women, onto whether we get better
18 morbidity, lower mortality and better quality of life
19 looking at the entire process.

20 So what we also tried to do is to find the

21 evidentiary standards and sort of what we think is

1 better or less good evidence for analytic validity,
2 clinical validity, and clinical utility. I'm not
3 going to walk you through all of that here. I want
4 you to see that it exists and basically follows the
5 framework that we talked about earlier.

6 So we get to prevention. Clearly use of --
7 this is about use of genomic tests in an otherwise
8 asymptomatic population. And as we look at that,
9 there are characteristics of genomic tests, but they
10 don't, in fact, differ markedly from any other kind of
11 screening test.

12 We worry a lot that there are a large number
13 of unaffected individuals who may be subject to harms
14 from any type of a screening process. There's usually
15 a modest number of individuals, who are actually
16 identified, who actually have the condition of
17 interest.

18 These tests need to meet standard screening
19 criteria, which I think most of you are already

20 familiar with. And perhaps most importantly, we want

21 to see that there is an effective intervention to

1 improve clinically important outcomes. Because at the
2 end of the day, this is about whether or not one can
3 modify outcomes. And we need evidence that one leads
4 directly to another, and there are appropriate actions
5 that can be taken that actually do improve outcomes.

6 Greg walked you through very nicely a lot of
7 the work of the U.S. Preventive Services Task Force.
8 I think the task force itself thought it was only
9 doing -- assessing genetic tests in two cases. One
10 was for hemochromatosis, and one was for BrCA1, and
11 the others, as Greg sort of reviewed with you, that
12 was -- they looked primarily at that as just another
13 clinical consideration about risk characteristics.

14 But I would commend to you these two reviews
15 because it shows how the clinical guide or the U.S.
16 Preventive Services Task Force applied their
17 methodologies specifically to some types of genetic
18 tests. And I'd be happy to talk about them more if
19 you'd like.

20 As I said, we carefully consider the

21 benefits and the harms and pay particular attention to

1 harms for screening tests. We are very much
2 interested in the evidence of improvement in
3 clinically important outcomes. Information alone that
4 isn't related to outcomes is not going to be very
5 persuasive to us. And the harms that we're talking
6 about include not only the ones you might think of as
7 directly related to false positives and so forth.

8 But it includes labeling, anxiety, additional testing,
9 false reassurances from false negatives. So we look
10 at all of those and take them into consideration.

11 We also look at a variety of other
12 considerations as we do these evaluations. We look at
13 ways in which the use of the testing can be optimized,
14 particularly through appropriate testing where, of
15 course, family history can be potentially important
16 and by identifying particular risk groups that might -
17 - that are asymptomatic that might warrant screening.

18 We often, although we've been less explicit
19 about this, consider the incremental benefit compared

20 to existing standards of care and existing

21 technologies. As we try to translate the evidence

1 into recommendation, we also consider contextual
2 factors including the time and economics and
3 opportunity costs, if you will, as well as the nature
4 of the condition, the severity, the availability of
5 alternative therapies and so forth.

6 Very much like the U.S. Preventive Services
7 Task Force, we think the bar is likely to be much
8 higher. And we think it is higher and consider it
9 higher than for other applications. We basically, as
10 the U.S. Preventive Services Task Force, really try to
11 make a recommendation based on two considerations:
12 How certain are we that we know the answer to the
13 question. We need to be at least moderately certain
14 that we have the information correct, and that we can
15 assess what it is. And the second is then, the
16 magnitude of affect, and it needs to be at least
17 moderate or greater. And I understand these are
18 subjective terms. So we need to be able to make a
19 recommendation in favor of the use of a test. We need

20 to be moderately certain that there's a moderate or

21 greater benefit of this test.

1 There's a lot of methodologic challenge in
2 doing all of this work. One of them is, how do you
3 titrate the evidence to the problem. This becomes a
4 particular issue since the technologies are changing
5 all the time, and it makes it -- oftentimes, the
6 studies that we use for assessing them relate to
7 technologies as they were not as they are.

8 We're looking at how one can use modeling to
9 be able to do some of these assessments, and we've
10 done some of that on various occasions. We don't
11 formally conduct economic evaluations, but we
12 certainly use them when they're out there. And we
13 talked about using more adaptive or staged processes
14 so we can get much more efficient. Because as many of
15 you know, the systematic review processes can be very
16 long, tedious, cumbersome. So we've looked at ways
17 that they can be streamlined. We pilot-tested some of
18 those methods.

19 And again, I'd commend to you the review on

20 hemochromatosis from the U.S. Preventive Services Task

21 Force, which showed how you can obviate the look for a

1 lot of information when you can get the answer to one
2 of the links in the chain that tells you that you
3 can't complete the links and don't have to necessarily
4 look at all of them.

5 So to sum, we think that for preventive
6 applications of genomic tests, the bar should be high.
7 The same is for other clinical preventive services
8 because at that time, you'll know when you've got a
9 valid, useful test, although we don't consider
10 reimbursement as one of our primary audiences. Our
11 work is primarily for clinicians. It will allow the -
12 - we believe that it will reenforce the need for good
13 reimbursement and that it will diminish the potential
14 for harms, which is a major concern of ours, and that
15 we have strong evidence for moving forward.

16 So with that, I'll stop.

17 Thank you.

18 DR. SATYA-MURTI: Thank you very much, Dr.

19 Teutsch. It's very interesting that when we were in

20 the trenches as Medicare medical directors, we would

21 often be asked and told that science follows practice,

1 and so one of your slides reminded me of that. We
2 have some time, perhaps another 15 minutes or so for
3 questions. I just have one question, and then we'll
4 follow with the others.

5 One, the clinical utility in the outcome,
6 what would be some of the major or pivotal outcomes
7 you would look for that those measures are applicable
8 across all age groups including Medicare age group?
9 It varies depending on the test and the disease, but
10 still for all of them -- and so-called overarching
11 outcome, survival or feeling better, and then is it
12 culturally determined and economically determined?

13 DR. TEUTSCH: Less economically determined.
14 There is -- we have published, if you will, the
15 outcome table as to what we think are useful outcomes
16 that we will assess in the report on the oversight of
17 genetics that was done by the secretary's advisory
18 committee on genetics, health and society. And it's a
19 long list, so I'm not going to be able to recite them

20 for you. Hopefully, in a forthcoming publication,

21 you'll see them laid out more carefully.

1 But, in general, we look at a variety of
2 them. And again, we're not looking specific at
3 preventive tests. We're looking at all of them.
4 Clearly the most important ones are related directly
5 to morbidity and mortality, quality of life. Patient
6 preferences are definitely a part of that because they
7 embody a quality of life measures.

8 We do look at societal impact as well as
9 some of the potential consequences on family. So
10 they're broad. What we try to do is then to translate
11 it down into specific outcomes that are germane to the
12 tests that we are talking about.

13 So for ovarian cancer, for example, the
14 outcomes that were germane for a screening test
15 clearly relate to the survival and life expectancy of
16 women who would be screened. We generally do not look
17 as an outcome, for instance, about reduction in tumor
18 mass or anything like that because we do care about
19 survival.

20 The downside of that, and it actually was

21 pretty apparent, was that most of the women who are

1 screened and found positive are going to need invasive
2 tests. So we were to verify their diagnosis -- so we
3 looked very much at the potential harms that are then
4 associated with a variety of diagnostic tests that are
5 done to establish the diagnosis.

6 You saw that for SIP450. You could see what
7 the outcomes were, that is, do you get people's
8 depression under control faster, are their symptoms
9 less, and do we really have outcomes for that? So we
10 do tailor these -- the general outcomes then down to
11 the specific clinical scenario that we're looking at.

12 And I would point out that we look at
13 specific clinical scenarios. We're not looking at
14 specific tests. This is not a technology assessment
15 program in general.

16 DR. HAYES: I notice on slide 14, you
17 emphasize that information alone unlikely to be
18 persuasive. Have you been criticized for being
19 paternalistic in this regard, that having information

20 patients might do something with it, that you don't

21 get to start with? I have, for example, so that's why

1 I'm asking you.

2 DR. TEUTSCH: We get criticism all the time
3 on lots of dimensions. I think -- and these were very
4 lengthy discussions 'cause clearly people had
5 different perceptions of what this is about.

6 A lot of these tests -- and again we're not
7 looking primarily at geriatric age group. But a lot
8 of these tests clearly can be informative to help
9 people make a variety of decisions in their personal
10 lives. There's no doubt about it. Clearly the whole
11 issue of genetic counseling is based on helping people
12 understand and use information.

13 Where -- so yes, we clearly understand that
14 those are important issues. But where we came down
15 is, we are talking about clinical applications of
16 tests and are interested in the clinical outcomes.
17 Does it make a health difference? That's not to say
18 those other issues aren't valid, but that's the
19 framework that we've used much as the U.S. Preventive

20 Services Task Force.

21 You can see the same controversies that

1 exist there. Yes. I'd like to know my X or my risk
2 for Y. But if there's no specific action that flows
3 from that that makes a health difference, we would not
4 consider that sufficient evidence to make a
5 recommendation.

6 DR. SATYA-MURTI: Very quickly, what was
7 your example? Maybe I'm a little confused. You said
8 you had --

9 DR. HAYES: Well, again I'm a medical
10 oncologist. Classically, when we try to develop
11 guidelines for use of tumor markers, the criticism we
12 get is, well, patients want to know if they're
13 negative or positive even if that doesn't change your
14 clinical approach. And we've made the point, for
15 example, ASCO tumor marker guidelines panel, as you
16 have, that one should be ready to pull the trigger and
17 make a clinical change that you know improves outcomes
18 based on the results and that one shouldn't just draw
19 -- turn over a rock just because there might be

20 something under it.

21 DR. SCHEUNER: So I just wanted to ask a

1 question about the outcomes. And your slide says,
2 "Requires evidence of improvement in clinical
3 importance -- in clinically important outcomes." And
4 my question is, for whom? Is it just for the patient
5 sitting in front of you, or is also clinically
6 important outcomes that might result for family
7 members?

8 And if we truly take a societal perspective,
9 then I'm wondering, you know, we should consider that
10 as well. So, for example, if I have a patient who has
11 breast cancer, we do BrCA testing, we find a
12 deleterious mutation, now I have very important
13 information for her relatives who are at risk.

14 And those relatives could benefit from that
15 information and potentially reduce morbidity and
16 mortality. And the guidelines that we practice in
17 clinical genetics is to start testing in an affected
18 family member first because that's where the bang for
19 the buck is, so to speak.

20 And there are certain single-gene disorders

21 where doing that testing may not change the health

1 care I'm going to provide for that patient, but
2 certainly would change the health care for those
3 family members at risk.

4 DR. TEUTSCH: You're right. I think if you
5 look at what the USPSTF did with BrCA that was
6 certainly consistent. The EGAPP has just completed
7 its review of Lynch syndrome, HNPCC, screening which
8 deals exactly with this issue and basically came down
9 with a recommendation that it was worth screening.

10 Now, that assumes that there are some
11 relatives out there who could stand to benefit from
12 all of this. Right?

13 DR. SCHEUNER: Sure.

14 DR. TEUTSCH: So it is contextual, you know.
15 If you're the only surviving member in your family,
16 and you're 70 years old, it's probably not worth doing
17 a test. But there are others for whom it is, and we
18 try to identify who they are. So the answer to that
19 is yes, if we are not looking solely at the patient.

20 But we would then go back and say well, does

21 it affect, let's say, the patient. In this case you

1 might say surveillance intervals should be different.
2 Or in the case of a family member we'll say, if they
3 are screened, and we talk about how one might do that,
4 should their recommendations for screening for
5 colorectal cancer be different than for the general
6 population, and you'll see that. I believe that was
7 published in January, the same issue as the methods
8 paper.

9 DR. SCHEUNER: Right.

10 DR. TEUTSCH: So the answer is, yes.

11 DR. SCHEUNER: So I have another comment or
12 would like you to react to another statement. So this
13 clearly would be the case for the single-gene
14 disorders again. But I'm not so sure it would be the
15 case for the complex conditions where the alleles that
16 are looked at, I guess, are generally more common.
17 And perhaps, you know, if testing were made available,
18 we had a great test that was predictive of
19 cardiovascular risk, and there were interventions

20 available targeted to that and so forth, that I'm not

21 -- I don't know myself if family-based type of testing

1 would be indicated, so to speak.

2 So perhaps again it's apples and oranges.

3 I'm trying to -- for the group as we consider again,

4 how we're going to answer these questions, should we

5 be dichotomizing things or not.

6 DR. TEUTSCH: I think we wouldn't

7 necessarily frame the question that way. And I think

8 if you could see that even under the SIP450, we will

9 say, what is the test, what is the information

10 provided, does it make a clinically important

11 difference?

12 So if you're going to assess cardiovascular

13 risk using a panel, say, of genetics, the question is,

14 does it provide some incremental benefit in terms of

15 cardiovascular outcomes in terms of, is it going to

16 change the management, is there evidence that it

17 changes behaviors in a way that we think is -- you

18 know, we can be convinced -- actually makes a

19 clinically important outcome.

20 DR. SCHEUNER: Right. But I guess what I

21 was trying to say is, I don't think it has the same

1 level of importance for family members as does the
2 rare single-gene. I'm not sure myself, but I would
3 say --

4 DR. TEUTSCH: That's probably true.

5 MS. RICHNER: Just being a layman and being
6 practical again, you're weighing heavily this last
7 issue, which is the clinical outcome if there's some
8 way that you're going to essentially change that. And
9 you're deciding that based on the available clinical
10 literature that has randomized studies and this kind
11 of thing, to prove that there's a clinically-
12 meaningful outcome of this screened condition.

13 My worry is -- and I'm always a pragmatist
14 in thinking about timing. So, for instance, if we
15 decide now that we're not going to approve that these
16 screening tests have -- are related to a positive
17 clinical outcome, you have to do all of the studies,
18 the clinical -- it's 2010 now. That means, given what
19 it takes to do all of the randomized control trials

20 and these kinds of things, we're not going to screen.

21 We're going to wait ten years to get all of our

1 randomized control trials all completed, and then have
2 a clinically meaningful result in order to screen.
3 I'm just having a hard time with all of this.

4 DR. TEUTSCH: And this is a great source of
5 angst because ten years from now, the technologies are
6 going to be different. And are we fighting the last
7 war, too? So we share all of that. We're looking for
8 methods that could be more efficient. Then the
9 question is, how do you get to a sufficient level of
10 certainty?

11 The case we would make is that in the
12 prevention world, there are many harms that can be a
13 consequence of tests. There are false positives,
14 there are all kinds of -- it leads to diagnostic
15 cascades. And, in fact, the risks of having -- of
16 those are quite high so that we think that it is
17 imperative that it is better for us to wait until our
18 level of certainty is high enough so that we can be --
19 so that we can actually recommend them.

20 And you'll note the Preventive Services Task

21 Force, for instance, over time has been very

1 criticized as extremely conservative. Sometimes
2 that's proven very good, and sometimes it hasn't.

3 MS. RICHNER: So you'll have to triage the
4 top illnesses for the 65 and older that are the most
5 costly to our society, that have the best
6 opportunities now for treatment now. That's what
7 you're saying.

8 DR. TEUTSCH: Yes.

9 MS. RICHNER: Okay. So we have to pick
10 those top.

11 DR. TEUTSCH: Right. I mean, screening
12 should be done for things that are important,
13 reasonably common, and that you have something you can
14 do something about. So, yes. Those are basic kinds
15 of things. And particularly for the elderly
16 population that's -- there are lots of them. And as
17 we've talked about it, many are about quality of life
18 and other kinds of measures.

19 MS. DAVENPORT-ENNIS: Dr. Teutsch, I'm Nancy

20 Davenport-Ennis. I'd like to thank you for an

21 outstanding presentation. I'd also like to thank you

1 for the article that you referred to in your slides.
2 I would also like to draw attention to the same slide
3 that two of my former panelists have addressed. And
4 point number three, I think, is particularly important
5 to the patient population as we look at examining the
6 balance of harms and benefits.

7 I'd like to call your attention, if I may,
8 to the paper that was provided to the panel for
9 review. That calls out on the second page in the
10 review of evidence section. And in this section
11 you're talking about the fact that one of the
12 procedures available for reviewing evidence is through
13 metanalysis. And if I may, I would like to quote from
14 the paper.

15 "Evidence reviewed strategies. When topics
16 are selected for review by the EWG, CDCs, NOPHG
17 commission systematic reviews of the available
18 evidence. These reviews may include metanalysis and
19 economic evaluations." Within this same section of

20 the paper, the immediate following paragraph.

21 "This statement is made. However,

1 comprehensive reviews are time and resource intensive,
2 and the numbers of relevant tests are rapidly
3 increasing. Some tests have multiple applications and
4 require review of more than clinical -- one clinical
5 scenario."

6 My question to you is, how much of this
7 focus in the review process do you think will, indeed,
8 revolve around the cost? And as we look at those
9 opportunities and those costs, if we, indeed, know
10 it's going to take multiple tests to come to some of
11 the determinations that we need to, ultimately what
12 will be the individual value to the patient through
13 this process and to the societal good through the
14 process?

15 DR. TEUTSCH: I think I heard a whole bunch
16 of questions in there.

17 MS. DAVENPORT-ENNIS: There are two
18 fundamentally.

19 DR. TEUTSCH: One was about the economics.

20 MS. DAVENPORT-ENNIS: That is correct.

21

1 DR. TEUTSCH: And the other was about the
2 value to the patient.

3 MS. DAVENPORT-ENNIS: And societal good.

4 DR. TEUTSCH: And societal good.

5 MS. DAVENPORT-ENNIS: Right.

6 DR. TEUTSCH: And as I said, I think -- let
7 me take the societal good and the value to the
8 patient.

9 We're really talking about the trade-offs
10 again for harms and benefits. If we were very
11 convinced that harms were negligible, then the level
12 of certainty that one actually needs on benefits is
13 actually not that high a hurdle.

14 I'll give you an example. If you ask -- a
15 clinician advises you to exercise more, okay? And you
16 ask me, what's the evidence for that? Well, we gave
17 it a pretty neutral kind of recommendation on the U.S.
18 Preventive Services Task Force 'cause there isn't a
19 whole lot of evidence. Right?

20 On the other hand, we would say we're

21 uncertain, but are encouraging, because the harms are

1 likely to be small, the benefits are likely to be --
2 are potentially substantial, even though we have
3 uncertain -- we would probably, if you will, give it a
4 positive spin.

5 So we do look at these things and try to get
6 that assessment so that we can have reasonable level
7 of confidence that what we're doing is more benefit
8 than harm. So that's the way we would look at it and
9 that would be true of an individual as well as with a
10 family.

11 Now, in the individual clinician looking at
12 an individual patient as opposed to this general
13 societal population, let's kind of consider that
14 individual patient. I mean, personalized health care
15 is not new to clinicians. That's the business that
16 they've been in for generations.

17 So they look at those particular things and
18 specific harms and benefits that are likely to accrue
19 to that patient and that patient's preferences. So

20 that's part of the consideration.

21 In terms of economics -- and I guess we'll

1 get into all of this later -- the EGAPP has done
2 relatively little because there's been relatively a
3 paucity of information for what we've looked at.

4 We are saying that it is a relevant
5 consideration. Certainly opportunity costs in terms of
6 time and energy and effort, both on the part of the
7 patient and the physician, are important. Even the
8 U.S. Preventive Services Task Force has now moved to
9 that perspective, and then do not look at costs.

10 Having spent a lot of my life getting
11 involved in economic evaluations, and -- well, let me
12 turn -- let me tell you what we have done with the --
13 on another group, the National Commission on
14 Prevention Priorities.

15 We have prioritized the things that are
16 actually recommended that are effective based on their
17 preventable burden, that is, how much societal good
18 would there would be and their cost-effectiveness
19 using a standard set of methodologies. Basically

20 those are the panel on cost-effectiveness and health

21 and medicine.

1 Here's the take away. The take away is,
2 that there are man orders of magnitude difference
3 between the things that are most effective and the
4 things that are least effective, even though they are
5 effective. And there are orders of magnitude
6 difference in their cost-effectiveness, too.

7 And we could quibble about small
8 differences, but we are talking about enormous
9 differences. So that one can get a fairly good handle
10 on that. So well, you could say yes, it would be nice
11 if we had time and energy to do all of these things.
12 You can look at the things that are the most important
13 to do and begin to set some priorities.

14 Now, that's primarily for clinicians, not
15 for payers. But I can tell you, for instance, giving
16 -- recommending people to take aspirin -- adults to
17 take aspirin compared to making sure you get a tetanus
18 shot every ten years once you've had an initial
19 booster or your initial series, it's an enormous

20 amount of difference. And if we spend our time doing

21 the things that have the smallest preventable burden

1 and are least cost-effective, we are frequently
2 missing out on those other opportunities.

3 So we think it can be done and should be
4 done. Whether that's part of the original evidence
5 process or not, that remains to be seen how we
6 incorporate it. We just haven't had the opportunity
7 very often.

8 DR. SATYA-MURTI: One more question, maybe.

9 DR. SPERTUS: First of all, I want to
10 applaud you on the very scholarly logic that you've
11 applied to try to evaluate the evidence. One area
12 where you've remained silent on that I think is very
13 important and germane to this discussion is around the
14 end part of your spectrum of translational research,
15 moving it actually to practice.

16 And my concern is, if you take the example
17 of carotid endarterectomy, there are big clinical
18 trials that show a benefit in symptomatic patients.
19 But to get intersection at clinical trial, you had to

20 have a pari-procedural complication rate of less than

21 three percent. And if you got much over that, the

1 risks out-weighed the benefits.

2 We have a flurry of physicians out there,
3 who don't really remember genetics and learned it long
4 ago. We don't have the infrastructure for genetic
5 counseling that's throughout the system. And more
6 importantly, I fear that we're going to run into a
7 time where, you know, you're looking at a patient who
8 has a very small risk of cardiovascular disease by
9 their genetics or no risks from cardiovascular
10 disease, but they smoke, and they eat terribly, and
11 they don't exercise.

12 And we aren't creating the infrastructure
13 for multi-variable models to integrate genetics as one
14 factor of multiple factors that are going to create a
15 much better spectrum of risk for the patient.

16 And I was wondering if you could comment on
17 some of those challenges. Because if you approve a
18 test, and in Atchison, Kansas, where, you know, I
19 live, they just check a box, and they get this, you

20 know, bracket one possible. And I don't know what

21 that means. And how do they communicate that to the

1 patient, how do they figure out what to do about it?

2 I mean, there are a lot of challenges in the
3 actual deployment of these complex tests that I
4 haven't been able to read much about. The AHA has
5 alluded to it tangentially. What would be your
6 recommendations, and is EGAPP going to start to think
7 as scholarly as it has about the evaluation of the
8 evidence on the translation of that evidence into
9 practice?

10 DR. TEUTSCH: You want a 25 word or less
11 answer, I think. But that's a complicated and
12 important question. And when it's -- we actually do
13 look about -- at how well it can be actually
14 implemented in practice. And to use -- I'll go back
15 to the U.S. Preventive Services Task Force and what
16 you talked about in terms of endarterectomy. That's
17 exactly where we came down.

18 That, in fact, entirely selected centers, it
19 might have some incremental benefit. But in general

20 practice, the harms are

21 -- you know, the mortality is higher than that and,

1 therefore, you know, should not be -- not generally be
2 used.

3 So we do -- we tend to look at those things
4 when we have that kind of information. But as I was
5 alluding to for the National Commission on Prevention
6 Priorities, these things should not be looked at as
7 equal. And that we do need to have processes, whereby
8 we look at their relative comparative value in a more
9 holistic sense.

10 We're not doing a great job of that at the
11 moment because most of our tasks are very focused.
12 The attached -- EGAPP is an umbrella group, and the
13 working group is the group that's been developing the
14 methods and the recommendations.

15 There is also the EGAPP stakeholders group,
16 which is -- has a much broader constituency. It's
17 much -- and it has much broader representation from
18 different groups, whether they're consumer groups,
19 professional groups, payers, employers, you can -- and

20 a bunch of other, which I can't recall off the top of

21 my head. But it's a big group of stakeholders.

1 And part of their job is to, A, identify
2 technologies that are relevant to them and important,
3 that they want assessed. But also to figure out how
4 do we get these things out in a proper and efficient
5 manner? So although that wasn't what I was asked to
6 speak about today, and I'm not part of that
7 stakeholders group, within the broad EGAPP framework,
8 that's part of it.

9 And, as you know, with U.S. Preventive
10 Services Task Force, on a smaller scale they have a
11 program about putting prevention into practice. I
12 talked to you about NCPP, which is a prioritization
13 process. So these are baby steps along the way, but
14 you know, I think this is a problem in health care.
15 I'm now going to put on my public health hat. You
16 didn't ask me for that, but you know, if you look at
17 the real determiners of health in this country, it's
18 not specific genetic tests.

19 The big determiners are social, economic,

20 and the physical environment. And if we really wanted

21 to start putting our money where our mouth was, we

1 would really be investing much more in the -- in
2 making societal decisions that will talk about dealing
3 with really underlying determinants and those kinds of
4 things.

5 DR. SPERTUS: Right. So to be more
6 specific, I mean, would you recommend delaying the
7 sort of widespread approval and dissemination of
8 screening tests for genetics until we build that
9 infrastructure to be able to translate into practice
10 and understand how to translate into practice, or do
11 you think that that's too high a bar, and that's
12 moving things -- the fulcrum too far to the right?

13 DR. TEUTSCH: Oh, ideally in my academic
14 sense, I think that's what we should have. I think
15 the problem is that we're in a realistic world. We're
16 in a free market economy where these tests are out
17 there and can be used. And part of our job here is to
18 get an assessment of them now so that we can inform
19 these decisions. Ideally, yes. That would be nice to

20 do that.

21 But I think realistically we try to strike a

1 balance between staying abreast of these technologies,
2 assessing them, getting them out there at the
3 appropriate time, and making sure that people
4 understand what the value is, so they can make good
5 choices.

6 As Greg told you, you can spend all your --
7 all week, every week, to doing preventive services and
8 not doing the rest of clinical care. That wouldn't be
9 a very smart choice either. And we've got to help
10 clinicians make good strategic choices about how to
11 spend their time, money and time of patients, and
12 energy and commitment.

13 DR. SATYA-MURTI: We have 30 seconds for the
14 last question and 30 for answer.

15 DR. SCHEUNER: You pointed out in your slide
16 that today, we were focusing on disease screening and,
17 with respect to clinical validity, the association
18 with the disorder. But actually, the row below, I
19 think, is what we've been discussing. And that is

20 risk assessment and susceptibility and the association

21 with the future disorder.

1 I mean, most of genetic testing that we've
2 been talking about, DNA-based testing of heritable
3 traits whether they're single-gene disorders or
4 complex disorders, really relates to risk
5 stratification and the added value I would hope to an
6 overall risk assessment -- and was pointed out, and
7 its ability to improve dissemination in its ability to
8 reclassify individuals for whom then there would be an
9 intervention available.

10 So we touched on this actually in February,
11 when we were discussing diagnostics. But it's more
12 relevant today in talking about risk stratification
13 and all of that. And where do those intermediate
14 outcome variables like improved reclassification,
15 improved discrimination -- where does that fit in the
16 EGAPP box?

17 DR. TEUTSCH: Well, it's interesting you ask
18 that because, you know, that is, perhaps, a type of
19 screening. When people talk to me about screening, I

20 think about early detection.

21 DR. SCHEUNER: I agree. But that's the way

1 it's defined for this panel today as both early
2 detection and prevention, which relates back to risk
3 assessment. If we could be instructed that we're only
4 dealing with early detection, then that's a very much
5 different scope for us today. So if we could know
6 what we're supposed to be addressing, it would be
7 extremely helpful.

8 DR. TEUTSCH: Let me make a comment on that.

9 We would hold basically to the same evidentiary
10 standard about clinical outcomes for risk assessment.
11 That is, if you can assess, sort of -- first is the
12 validity question. Does it assess the risk properly?

13 But the second question is still the same.

14 It doesn't differ much. And that is, what is the
15 clinical utility of that assessment? Does it then
16 lead to some intervention, some behavior, or a
17 decision process that is actually going to enhance
18 clinical outcomes? I would say the same.

19 DR. SATYA-MURTI: That's good. At this

20 point I think -- Maria says we're allowed to use the

21 bathroom. So I think we should -- that's on the safe

1 zone. It's not in the red zone. We ought to be doing
2 that. I'm sorry about truncating this, but we have
3 time for among-panel discussion mid-afternoon. So if
4 we may break and come back in exactly 15 minutes?
5 Thank you.

6 (Whereupon, a short recess was taken.)

7 MS. ELLIS: We will now start the public
8 comment section. First up we have Dr. Jan Nowak.

9 DR. NOWAK: Good morning. My name is Jan
10 Nowak, and I am a pathologist and Medical Director of
11 the Molecular Diagnostics Laboratory at Evanston
12 Hospital in Evanston, Illinois. And I invite you on
13 behalf (unintelligible) talking about something other
14 than the swine flu.

15 I'm here today on behalf of the College of
16 American Pathologists, where I'm a member of the
17 Patient Safety and Performance Measures Committee and
18 the Molecular Oncology Committee. The college
19 appreciates the opportunity to appear before you today

20 and provide our perspectives on evidence requirements

21 for using genetic tests for disease screening.

1 The College of American Pathologists is a
2 national medical specialty society representing more
3 than 17,000 pathologists who practice anatomic
4 pathology and laboratory medicine in laboratories
5 worldwide. Our members have extensive expertise
6 providing and directing laboratory serves and
7 volunteer as peer inspectors in laboratory
8 accreditation programs. The college's Commission on
9 Laboratory Accreditation is responsible for
10 accrediting more than 7,000 laboratories here and
11 abroad.

12 I have two points that I want to leave you
13 with today, and I think these will reiterate some of
14 the comments that our speakers made earlier and
15 hopefully will help you in your task.

16 Firstly, college members feel that genetic
17 screening tests are not unlike numerous other
18 laboratory tests that are used for screening purposes
19 in medical practice and should be evaluated in a

20 similar manner.

21 In the college's comments to this committee

1 in February, we highlighted the role of pathologists
2 in developing, delivering, and interpreting genetic
3 and genomic tests for patients.

4 In the treatment of an individual patient, a
5 pathologist interprets the data produced in the
6 laboratory in the context of the patient's clinical
7 situation, including family history, and participates
8 in interdisciplinary discussions involving primary
9 care clinicians and other specialists regarding which
10 tests to order, the significance of test results,
11 unusual or unexpected results, and recommendations for
12 additional testing. We think this process ensures the
13 best test selection for each specific patient.

14 The goal of screening programs, however, is
15 not simply to ensure the right test for the right
16 patient at the right time, but to ensure that at-risk
17 individuals in a population be identified early enough
18 to prevent disease progression or provide
19 interventions that improve patients' outcomes.

20 The focus of screening tests is not so much

21 on the choice of tests but rather that the patient

1 come from a select already identified at-risk
2 population. The screening test has as its overriding
3 purpose not simply diagnosis but identification of
4 individuals with increased potential to develop
5 disease or to develop more serious disease.

6 So acknowledging that shift in focus, it is
7 no less important that screening tests be evaluated in
8 the context of well established performance
9 characteristics common to diagnostic testing. As
10 noted in the materials that was distributed to the
11 committee beforehand, the important criteria for a
12 screening test includes simplicity, acceptability,
13 accuracy, cost, precision, sensitivity, and
14 specificity. These criteria are common to all
15 clinical tests.

16 What distinguishes screening tests here is
17 the importance of identifying the correct population
18 in which they should be used.

19 Now, it's convenient for us to equate

20 asymptomatic with absence of disease but this morning

21 I've heard various definition of what asymptomatic is.

1 I've heard it's absence of disease. It's
2 asymptomatic, absence of symptoms. I've heard one
3 speaker talk about absence of signs and symptoms.
4 I've heard another speaker talk about pre-symptomatic
5 disease or pre-symptomatic lesions. I mean which is
6 it? I think we need to acknowledge what it is we're
7 talking about. So to say that our population is
8 asymptomatic and without disease, that's not entirely
9 accurate. Every individual in an at-risk population
10 has some probability of harboring disease. There's no
11 question that all of us in this room are at some risk
12 for the development of colon cancer but we don't
13 screen 20-year-olds for colon cancer. We are
14 selective in who we screen. Likewise, there's -- the
15 women in this room all have some risk for cervical
16 cancer or for breast cancer, but we have clinical
17 guidelines to tell us where we apply those screening
18 tests that we have.

19 The function of the screening test is to

20 revise the risk of disease either upwards or downwards

21 and then proceed accordingly. The goal of the

1 screening test is to inform us how to best utilize our
2 resources to prevent disease progression or to prevent
3 disease development.

4 Secondly, it's critical in your
5 deliberations that you distinguish the various forms
6 of genetic tests. Genetic screening tests for common
7 Mendelian disease, cystic fibrosis, for example, these
8 have been well established using conventional
9 evidentiary processes and mechanisms. Similarly,
10 genetic tests that identify inherited variations in
11 physiology and metabolism, that path of physiology
12 that Dr. Teutsch talked about, say for example Factor
13 V Leiden testing as the basis for inherited resistance
14 to activated Protein C or even CYP 2C9 testing for
15 warfarin. I mean all of these have well documented
16 biological underpinnings.

17 We understand the pathophysiology. These
18 are not simply associations. We understand the
19 biology of these diseases and the evidence behind them

20 is in the scientific and in the medical literature.

21 Likewise, genetic tests for acquired somatic

1 mutations, the kind that are etiologic for cancer, are
2 not a difficult issue. A screening test to identify
3 some of the mutations currently found in colon cancer,
4 for example, is not founded simply on statistical
5 association, but rather is rooted in the biology of
6 tumor development.

7 These kinds of genetic tests where the
8 genotype/phenotype relationship is understood
9 sufficiently to define the disease process, these need
10 to be distinguished from genomic association studies
11 where the function of the genetic variations are
12 unknown, where the genotype/phenotype relationship is
13 purely or largely associative.

14 Those tests spawned by Genome Wide
15 Associations Studies are unique. And they raise
16 unique concerns that I'm sure this panel will hear
17 about later today. And we think for those, outcomes
18 will be particularly important and carry a particular
19 weight.

20 So in summary, as medical specialists in the
21 diagnosis of disease, pathologists have a long track

1 record of practicing evidence-based medicine through
2 the development of appropriate laboratory tests and
3 the selection of alternative diagnostic methods.

4 Screening tests in general are not different
5 from other diagnostic tests in their validation. But
6 the use of a particular test needs to be considered in
7 the context of the goals of the screening program.

8 The CAP also encourages the panel to
9 recognize the need to distinguish the different types
10 of genetic tests as it considers the kinds of evidence
11 needed to establish a particular test for screening
12 purposes.

13 We're very willing and happy to contribute
14 our expertise in these discussions. And I thank you
15 for your attention and the opportunity to share these
16 remarks with you.

17 DR. WENSTRUP: Good morning. My name is
18 Richard Wenstrup, and I'm the Chief Medical Officer at
19 Myriad Genetic Laboratories, Incorporated, located in

20 Salt Lake City.

21 Of course I have a financial interest in

1 Myriad, but no other conflicts of interest. I'm a
2 physician boarded in Pediatrics and Clinical Molecular
3 Genetics. I can provide my CV if the panel so wishes.

4 I want to thank you for the opportunity to
5 provide you with information regarding diagnostic
6 testing for hereditary cancer syndromes in the
7 Medicare population.

8 Local Coverage Determination for Genetic
9 Testing outlines clinical criteria for medical
10 necessity for three hereditary cancer syndromes,
11 including Hereditary Breast and Ovarian syndrome, the
12 Lynch syndrome, and the Adenomatous Polyposis
13 syndromes.

14 At this time, only Medicare beneficiaries
15 who have previously been affected with cancer and have
16 a significant family history are covered. The
17 coverage determination specifically states that
18 unaffected patients are excluded from coverage even
19 when a mutation has been identified in the family,

20 where they'd have up to a 50 percent risk, to, quote,

21 "Testing of unaffected family members or other

1 individuals is considered by Medicare to be screening
2 and is not payable under the Medicare program."

3 Myriad takes issue with this -- with this
4 last notion that testing of unaffected, at-risk
5 individuals is necessarily considered screening. And
6 I hope to elaborate in the next few minutes.

7 Genetic testing to identify patients who
8 have a hereditary risk of cancer has become standard
9 of care, and numerous professional societies have
10 published position statements on the appropriate use
11 of these tests.

12 These guidelines reflect the fact that
13 genetic testing of unaffected individuals with high-
14 risk family histories is medically appropriate with
15 substantial clinical utility.

16 As opposed to general population tests, such
17 as Pap smears and mammograms which are offered to all
18 patients regardless of signs or symptoms, a specific
19 genetic test for hereditary cancer risk is only

20 recommended for unaffected individuals if there is a

21 family history of cancer that meets really -- meets

1 specific high risk criteria such as early age of
2 onset, multiple affected family members, et cetera.

3 In essence then, the affected individual's
4 family history of cancer is the sign or symptom that
5 prompts genetic testing. Family history is a key part
6 of medical evaluation and has always guided the
7 decision for medical testing. A positive test in an
8 individual is diagnostic and leads to a specific set
9 of recommendations for increased surveillance and
10 occasionally preventative surgeries.

11 A clinical consensus has emerged around the
12 use of these tests. And we think that Medicare's
13 coverage for its beneficiaries falls short -- falls
14 short of that clinical consensus.

15 The USPSTF published a clinical guideline on
16 Genetic Risk Assessment and BRCA in 2005. They
17 recommended that women whose family history is
18 associated with an increased risk for deleterious
19 mutations in BRCA1 or 2 be referred for genetic

20 testing and evaluation for BRCA testing.

21 In addition, the NCCN or the National

1 Comprehensive Cancer Network's guidelines on
2 hereditary breast and ovarian cancer suggest the
3 evaluation for high-risk unaffected individuals as do
4 those of the Society of Gynecologic Oncology and
5 recently the American College of Ob/Gyn.

6 In Myriad's view, exclusion of these tests
7 for appropriate patients may result in substandard
8 medical care for the beneficiary. Mutation-positive
9 individuals remain undetected and therefore unable to
10 take the necessary steps for risk reductions.

11 To use hereditary breast and ovarian cancer
12 as an example, the published medical management
13 recommendations for a BRCA1 or BRCA2 carriers apply to
14 both unaffected and affected individuals. They have a
15 -- the positive BRCA1/BRCA2 mutation carriers have an
16 up to 87 percent and 44 percent chance of developing
17 breast or ovarian cancer respectively, in addition to
18 a significantly increased risk of cancer of multiple
19 primaries.

20 For BRCA1 mutation carriers who are of

21 typical age for Medicare coverage, a significant risk

1 of cancer remains. In the 2007 meta-analysis, Chen
2 reported that a 60-year-old BRCA carrier has a 17 to
3 19 percent chance of developing breast cancer and a 10
4 to 22 percent chance of developing ovarian cancer by
5 age 70.

6 Proven intervention -- medical intervention
7 such as prophylactic removal of breast or ovarian
8 tissue can reduce the respective occurrence by over 90
9 percent.

10 Among patients with Lynch Syndrome, the risk
11 of colon cancer and endometrial cancer also persists
12 well -- well into -- well beyond the sixth decade.

13 And Henry Hampel in 2005 calculated the mean age of
14 onset for cancers in Lynch Syndrome was 58 years old.

15 Individuals with Lynch Syndrome should
16 undergo colposcopy every one to two years due to the
17 risk of cancer. And frequent colonoscopy has been
18 shown to reduce morbidity and mortality from
19 colorectal cancer in this population.

20 Finally, we analyzed our own data from the

21 years 2000 to 2009 and found that five point six

1 percent of all patients found to have a deleterious
2 mutation develop their cancer after the age of 65.

3 It's presumable that if they have been
4 identified and tested before developing their cancer,
5 they could have taken preventive measures to reduce
6 the risk.

7 Rarely is family history of colon cancer
8 alone sufficient to warrant the kinds of significant
9 changes in surveillance and possible surgery that
10 would clearly be recommended if the patient had a
11 positive mutation.

12 There is significant medical and economic
13 value in proactively identifying mutation carriers
14 prior to the onset of disease. A recent publication
15 by Holland, et al. determined that genetic testing of
16 B1 and B2 genes for unaffected women is cost effective
17 -- would be cost effective even if the criteria for
18 current guidelines is reduced.

19 The downstream benefits of identifying

20 individuals with mutations and then doing the same

21 with their family members would lower the incidence of

1 cancer in those who would ultimately become members of
2 the Medicare population. The resulting social benefit
3 of health care savings and ultimate reduction in
4 overall cancer incidence should not be overlooked.

5 In conclusion, we would like to thank the
6 committee for the opportunity to comment on their
7 current criteria available in supporting the test of
8 unaffected Medicare beneficiaries. We believe that
9 there is currently sufficient evidence to support
10 hereditary cancer testing of beneficiaries with
11 significant family history and strongly encourage a
12 change in the coverage criteria.

13 Thank you.

14 DR. SATYA-MURTI: Dr. Quinn, you're next.

15 DR. QUINN: Hi. Bruce Quinn. I'm a full-
16 time consultant with Foley Hoag, LLP. I don't have
17 any -- I've worked on a lot of associations, health
18 plans, manufacturers, as a consultant over several
19 years. But nothing that's a specific product that I'm

20 representing or working on in genetic screening tests.

21 What I'd like to talk about for a few

1 minutes is to put a couple stakes in the ground for
2 you on the theme that it's not always a clear line
3 between a screening test and a diagnostic test and
4 then tie those things together on the summary slides.
5 So you will have the feeling I'm kind of planting a
6 couple things out there before I tie them together.

7 The usual view is that screening tests are
8 like way over on the left and diagnostic tests are way
9 over on the right. Colonoscopy every ten years is a
10 screening test. But if you have personal signs and
11 symptoms, it's a diagnostic test. And Medicare
12 policies and codes reflect that.

13 For example, there's a benefit for screening
14 for prostate cancer. It's in the statute, and you can
15 get either a digital rectal exam alone for \$20
16 dollars. I don't know who specializes in doing that.
17 Or you can get a PSA alone for \$25 dollars. And
18 that's a standard every year screening benefit.

19 Or you could have personal signs and

20 symptoms of prostate disease, in which case there's a

21 different code that's a diagnostic prostate test. If

1 you get into the diagnostic prostate test, there is an
2 NCD that has dozens and dozens of ICD-9 codes attached
3 to it.

4 And they include things as broad as
5 hypertrophy of the prostate. Well, everybody over 45
6 has hypertrophy of the prostate at least without
7 symptoms. So that would virtually cover everyone.
8 And then you'd have almost a complete overlap between
9 BPH with no lower urinary tract symptoms and being
10 over the age of 50. So those things certainly blur
11 into one another.

12 But there are other examples where Medicare
13 has made distinctions between a condition versus a
14 disease. For example, smoking has an ICD-9 code. But
15 if you take smoking, and you add a condition like
16 emphysema to it, then you can get into the smoking
17 cessation counseling benefit.

18 So smoking alone doesn't qualify for that.
19 But smoking and emphysema, shortness of breath, heart

20 disease, would qualify. So they distinguish between

21 smoking and the smoking-related condition.

1 Now, I've always puzzled about this. If
2 you've got hypertension, you can be diagnosed and
3 treated for hypertension. If you've got coronary
4 artery disease or stroke, we'll diagnose and treat
5 that.

6 But you could argue, based on the previous
7 slide, that nobody has ever died of hypertension. You
8 only die of things caused by hypertension like stroke
9 and heart disease. Just like you could say you don't
10 have smoking as a disease, only things that it causes.

11 So we don't treat those things
12 symmetrically. And either there's some difference
13 that people see when they think about them. Although
14 you could take an angle that those columns are pretty
15 similar.

16 Now, if you've got diabetes, we'll do
17 glucose testing, A1c testing, and treatment. If
18 you've got signs and symptoms of diabetic retinal
19 disease, you come in and say, "I've got diabetes. I

20 don't see as well the last two months," and you get a

21 diagnostic retinal exam.

1 What about an annual retinal exam? Up until
2 -- through the last five years, I was a regional
3 Medicare medical director. And I remember
4 teleconferences where people talked about is that
5 annual retinal exam part of managing diabetes, or is
6 it a screening test for something the patient doesn't
7 have which is diabetic eye disease.

8 And there were lively conversations about
9 that, most people feeling that it was part of the
10 medical management of something. A different disease,
11 but a disease related to hyperglycemia and diabetes.
12 So it wasn't a screening test.

13 Well, if you're diabetic, you can get nerve
14 conduction problems as well. Do you get an annual
15 nerve conduction exam? No. People thought that would
16 be a screening test. And therefore, it's not a
17 Medicare benefit.

18 If you have chemotherapy, you can get
19 hematocrit testing because you might get anemia caused

20 by your chemotherapy testing. However, it's a risk.

21 You don't actually have any signs and symptoms of

1 anemia. You just have one thing, chemotherapy, that
2 might be associated with a different thing, anemia,
3 for which there's a diagnostic test.

4 If you try to rationalize what we're doing,
5 there is a benefit not for a diabetic retinopathy
6 exam, but for a glaucoma screening. And you get that
7 in people who are diabetic or African-American or
8 Hispanic or have a family history of glaucoma.

9 So there's a point where family history
10 comes in. But that's a statutory benefit. It's not a
11 general benefit.

12 Now, you might have argued if this didn't
13 exist that people with diabetes would get a glaucoma
14 exam as part of the management of their diabetes.
15 Maybe it would be a reasonable and necessary part of
16 managing diabetes. But here, it's unlike the other
17 exam. It's being viewed as a screening benefit.

18 So in summary, I would say that how can you
19 compare family history to this? Well, family history,

20 as we saw this morning, is an integral part of the H

21 and P. I had no connection a month ago with Dr.

1 Phurrough when he wrote his slides.

2 Family history certainly affects testing in
3 the presence of a minor symptom. You know, if you've
4 got someone with an earache or someone with an earache
5 and five family members with acoustic schwannomas,
6 you're going to treat it differently based on family
7 history.

8 Obviously, as soon as a sign or symptom
9 occurs, then we would use family history, and nobody
10 would question that.

11 There is nothing in the statute -- although
12 I'm not an attorney, I've worked with Medicare for
13 years. There's nothing in the statute that excludes
14 family history. It's a custom.

15 And so I think where family history, I would
16 offer, has a greater impact than signs and symptoms
17 that already qualify for the same test, it should be
18 reasonable and necessary justification.

19 So that the test itself be reasonable and

20 necessary to manage the patient would be the key

21 factor, and not the form of the medical history, like

1 whether it's family history or not.

2 So that would add family history to the
3 rationale. But that would not include population
4 screening tests per se. It would be for tests for
5 increased risk.

6 There are times when we do things for the
7 beneficiary that involves someone else. For example,
8 if a patient's in a coma, the doctor gets paid for
9 interviewing family members for family history or
10 other management decisions. You can bill that.

11 If the patient's schizophrenic, you can go
12 to the family for the medical history as well as the
13 family history, social history, history of allergies,
14 and so on. So there are some times -- this might be a
15 bit of a stretch -- where you do go to people other
16 than the patient and get paid for doing things for
17 them, with them, that are not actually hands-on or
18 diagnostic of the patient itself.

19 Thank you.

20 DR. SATYA-MURTI: Doctor Eng is next.

21 DR. ENG: I'm Charis Eng from the Cleveland

1 Clinic. I'm chairwoman and director of the Genomic
2 Medicine Institute, which is about three-and-a-half
3 years old. I'm also the director of the clinical arm
4 of that institute, the Center for Personalized Genetic
5 Health Care.

6 I think for these purposes I have to say
7 that I am on the board of scientific counselors of
8 NHGRI. And I am chair of the Clinical Science
9 Committee of the Personalized Medicine Coalition.

10 I brought a PowerPoint for the audience, but
11 was not allowed to load it. So I really apologize to
12 you. We don't have enough hard copies. The panel has
13 copies, please be assured.

14 Since I'm a practicing physician, we love
15 case histories. Let me start by someone that we all
16 know. July 12th or 13th last year, ex-White House
17 press secretary Tony Snow dies at 53. And every press
18 release says he died of colon cancer at age 53.

19 Well, that's sort of young. And it goes on

20 to say he was first diagnosed at the age of 49. They

21 did a surgery, underwent chemotherapy, and all of

1 that.

2 And the previous year, he had metastasis to
3 the liver. He had surgery, which is unusual, and had
4 chemotherapy. But he died.

5 And in only two of these news outlets it
6 says in small print, by the way, his mother died when
7 Snow was in high school. So her estimated age is 35
8 to 48. Again, family history could have informed.

9 So the most likely diagnosis is hereditary
10 nonpolyposis colon cancer syndrome, HNPCC, or Lynch
11 Syndrome, which you've already heard about.

12 Now, if he had only come to genetic
13 counseling and genetic consultation at that time, we
14 would have offered mismatch repair gene testing. Now,
15 we always test with a known affected person. His mom
16 was alive when she was first diagnosed. Whether we
17 had HNPCC testing at that time is moot.

18 But you heard that it has 80 percent
19 lifetime risk of colon cancer, and for women, 40

20 percent lifetime risk of endometrial and 10 percent

21 lifetime risk of ovarian cancer.

1 Tony Snow could have started screening
2 colonoscopies at the age of 25. And by predicting his
3 cancer risk, the family history leads to genetic
4 testing and it predicts risk of precise cancers which
5 could have been preemptively prevented.

6 So you're all saying, yeah, but he's not in
7 the Medicare population. Last Thursday I saw a 66-
8 year-old apparently healthy male who had a mom who
9 wore a colostomy bag all her life and died of
10 endometrial cancer at 50. He had five kids, one of
11 whom died of colon cancer at 29.

12 We wanted to test him. We could not get
13 blocks from either. Medicare does not cover this.
14 And the patient was not pleased. His wife was
15 enraged. I mean, many of us who practice, even though
16 it's not us, if it doesn't cover, they're just enraged
17 at us.

18 This is not a genetic -- it's not a
19 screening test. This is in fact a diagnostic test of

20 an obligate carrier. And it absolutely will lead to

21 the change in management, not just of the patient, but

1 of the family members. So here it's family, society.

2 So we're all skirting around whether to use
3 personalized medicine. And I prefer the use of
4 personalized health care because it's very broad, all
5 the way from clinical phenotyping, which is extremely
6 important, to risk assessment and then to choosing
7 management. Not just therapy, management.

8 Now, the concept of genetic based
9 personalized health care is not new. Some say it
10 started in ancient Egypt. You only (unintelligible)
11 for certain things. But I think to be fair, ABO blood
12 typing for safe transfusions is probably one of the
13 earliest forms of genetic screening for treatment.

14 We are in 2009. I think you've heard that
15 theme over and over again. So let's bring all of
16 American health care into the real twenty-first
17 century.

18 Now, when you say genetic screening tests --
19 and I think I harken to the first speaker -- it is

20 very broad. What do we mean? And I want to highlight

21 what we heard this morning just a little bit.

1 There are validated genetic tests usually
2 for Mendelian traits. They can be used as a
3 diagnostic. And I prefer the words, they can be used
4 as a predictive test -- not a screening test -- of the
5 as yet unaffected at-risk family members when there's
6 a family-specific mutation identified.

7 It does guide clinical management. Let's
8 separate out the variant-based statistically
9 associated genomic testing. Right now, there's no
10 clinical context for most. Some of them say all.
11 Most of those genomic testing based on SNPs.

12 And we have to say that the standard goals
13 of genetic testing, after all, like all clinical
14 testing, is to make an accurate diagnosis, to reduce
15 disease, and to identify at-risk people for prompt
16 risk management. And the common symptoms go all the
17 way from preconception, crib, to death.

18 I, for example, have chosen to be a clinical
19 cancer geneticist. So the first take home message is

20 always gene test in the setting of a consultation

21 which includes genetic counseling. Yes, there's very

1 few of the genetic counselors. There's very few
2 clinicians who practice. But we are thinking of novel
3 ways so that we can build this infrastructure for a
4 lot of genetic testing and interpretation.

5 So we always start testing with the living
6 affected if there's one. And then predictive testing
7 becomes 100 percent accurate.

8 So I would like to posit that state of the
9 art genetic testing is analytically valid, clinically
10 valid, clinically utile, and actionable.

11 So now to genomic testing. Price Waterhouse
12 has identified some top health industry issues. And
13 one of them is genomic testing has reached the price
14 point so that the masses can buy it without health
15 care givers' intervention, interpretation, counseling.

16 These, I would like to posit, have
17 questionable analytic validity. They currently have
18 little or no clinical validity, no clinical utility,
19 and no actionability.

20 Since genetics contributes mightily 30
21 percent premature mortality, the time is now ripe for

1 us to bless using clinically worthy genetic testing in
2 apparently unaffected individuals. And I would like
3 to again emphasize the utility of the family history.

4 Now, clinically worthy genetic tests applies
5 to virtually all Mendelian genetic diseases. The
6 absolute indication is if you know the family-specific
7 mutation, it's a hundred percent accurate, the test,
8 in an apparently unaffected relative.

9 The other indication is, like my family,
10 there's a linchpin member who might be an obligate
11 carrier, or there are no living affecteds. And so the
12 next living, quote, "unaffected" with the highest
13 probability -- that's why you take the family history
14 -- should be tested.

15 And so let me close by saying that family
16 history is the first, the cheapest, and the easiest
17 risk assessment to see if validated genetic testing
18 should be used.

19 Thank you.

20 DR. SATYA-MURTI: Very good. Thank you.

21 That came out quite well, even without the PowerPoint.

1 Dr. Hawkins?

2 DR. ALLINGHAM-HAWKINS: Good morning. My
3 name is Diane Allingham-Hawkins. I'm a molecular
4 geneticist and a cytogeneticist. And I'm here
5 representing Hayes, Incorporated, an independent
6 health care and research and consulting company
7 located in Lansdale, Pennsylvania.

8 For more than 20 years, Hayes has been an
9 industry leader in providing health technology
10 assessment on a wide variety of new, emerging, and
11 controversial health technologies to our worldwide
12 clients, which include hospitals, health care systems,
13 managed care organizations, government agencies, and
14 employers.

15 I am the director of the Genetic Test
16 Evaluation Program at Hayes. Hayes does not, nor do I
17 personally, have any financial involvement with
18 manufacturers of any products being discussed. And my
19 travel to this meeting was funded entirely by Hayes.

20 With respect to the evidence requirements

21 for genetic screening tests, I would like to make the

1 following points. There must be clear definition of
2 the disorder and the test being assessed.

3 Evidence requirements will be quite
4 different for highly penetrant single-gene disorders
5 than for genetic variants that predispose to disease
6 rather than being truly predictive. To illustrate
7 this difference, allow me to use the example of
8 Huntington disease, an adult onset neurological
9 disorder with virtually 100 percent penetrance for
10 variants in the affected range.

11 If you have this allele, you will get the
12 disease, assuming that you live long enough. Compare
13 this to genetic variants in BRCA1 and BRCA2 genes
14 which predispose to breast and ovarian cancer, but do
15 not guarantee that the individual will develop the
16 disorder.

17 Still less certain are single nucleotide
18 polymorphisms or SNPs which provide very modest
19 increases in predisposition. An example are SNPs on

20 chromosome 9p21 associated with coronary artery

21 disease, an assessment of which I provided to the

1 committee as part of our submission and which I will
2 comment on further when I discuss clinical utility.

3 The appropriate patient population must be
4 clearly defined. Again, this will vary depending on
5 the type of test and may depend on many factors,
6 including sex, ethnicity, age, and family history,
7 among others.

8 Important factors for analytical validity
9 include analytical sensitivity and specificity, but
10 also include appropriate pre-clinical validation of
11 the assays, the inclusion and monitoring of
12 appropriate controls, calibration of instrumentation,
13 training and education of laboratory staff, including
14 professional staff, and regular and successful
15 participation in proficiency testing. Laboratory
16 accreditation by all appropriate state and federal
17 agencies is also a critical element.

18 Important evidence requirements for clinical
19 validity include clinical sensitivity and clinical

20 specificity. The number of studies and number of

21 patients per study required to establish these

1 elements vary depending on the disorder and the
2 relative predictive value -- predictive impact of the
3 genetic variant.

4 A highly penetrant single-gene disorder
5 requires fewer studies while SNPs with a small
6 relative risk require a large number of studies with
7 many well-characterized patients and controls in each
8 study to validate the association.

9 Clinical utility is unquestionably the most
10 difficult area to assess for genetic tests because
11 few, if any, studies demonstrate the impact of the
12 test on patient care.

13 Ideally, what you're looking for is a clear
14 cause-effect relationship. Because you have this
15 genetic information, patient care was improved. This
16 rarely happens.

17 Even for highly penetrant single-gene
18 disorders like HD, with the exception of reproductive
19 decision-making, it is difficult to demonstrate the

20 direct benefit to the patient of knowing their genetic

21 status.

1 There are certainly exceptions. And BRCA1-2
2 status in patients with significant family histories
3 of breast or ovarian cancer is one of them,
4 particularly when the familial variant has been
5 identified.

6 Although carrier status for one of these
7 variants does not translate to absolute risk, the risk
8 is still significant, perhaps 65 percent lifetime risk
9 for breast cancer. And there are preventive
10 strategies that reduce risk.

11 However, for the majority of emerging
12 genetic tests that might be applied to a greater
13 proportion of the population, like the chromosome 9p21
14 SNPs, the evidence of clinical utility is just not
15 available.

16 Despite a large body of evidence that
17 demonstrates an increased risk on the order of 30
18 percent for myocardial infarction or coronary artery
19 disease with these variants, there is simply not

20 enough evidence to suggest that knowing this

21 information improves patient management or clinical

1 outcomes.

2 There is no association with severity of
3 disease. In addition of the genetic information to
4 other common risk factors such as age, blood pressure,
5 or cholesterol levels does not significantly modify
6 risk.

7 There is a small amount of evidence that
8 suggests that adding this information to patients with
9 intermediate Framingham scores allows reassignment of
10 a proportion of patients to low or high risk groups.
11 But these data are limited to a handful of patients to
12 date and require further validation.

13 Finally, I would like to address the ethical
14 and social issues surrounding genetic screening tests.
15 It is particularly important when dealing with tests
16 that identify predisposition to, but are not
17 diagnostic of genetic disorders, that issues
18 surrounding discrimination and stigmatization are
19 adequately addressed.

20 It would be very unfortunate, for example,
21 if an individual tested using an association test for

1 MI or the APLE E-4 (phonetic) which is neither
2 sufficient for development of Alzheimer's Disease
3 would be considered sick in the absence of any other
4 supporting medical information.

5 With that, I would conclude my comments.

6 Thank you for the opportunity to address the
7 committee.

8 DR. SATYA-MURTI: Dr. Klein is the last
9 presenter.

10 DR. KLEIN: I'm Roger Klein. I'm
11 representing the Association for Molecular Pathology.

12 So just from the perspective of a practicing
13 laboratory physician, the approach and analysis of
14 tests that we're calling genetic should not differ
15 from those of other laboratory testing. The unique
16 features and characteristics of screening programs far
17 outweigh differences in test methods or modalities.

18 By definition, in the screening program,
19 we're testing significant numbers of asymptomatic

20 individuals. Therefore, any harms that result from

21 that testing would not have occurred but for

1 implementation of the screening program.

2 Thus, there are potentially large public
3 health impacts, both positive and negative, from
4 screening programs.

5 And lastly, screening programs are
6 expensive. Therefore, the standards of evidence by
7 which the potential implementation of a screening
8 program is initiated should be far more stringent for
9 screening purposes than for diagnostic testing.

10 That being said, it's extremely difficult if
11 not impossible to establish universal evidence
12 standards for screening tests. Because of the
13 heterogeneity of genetic testing, as others have
14 pointed out, it's actually not possible probably to do
15 that for genetic testing either.

16 And I think the analysis really depends upon
17 the specific application. Are we looking at risk
18 stratification, or are we looking for early detection?
19 And while some have pointed out that the outcome

20 measures may not differ between these two, the harm

21 side of the utility calculus may.

1 What is the specific disease at which we're
2 looking and what are its features? How severe is it?
3 What are the potential harms that could result from
4 the specific screening program, and are there
5 subpopulations with which we're particularly
6 concerned?

7 All this suggests then a need for
8 individualized analysis with flexibility being key.
9 We don't have time to get into the details of genome-
10 wide association studies. But let us just say that
11 they do present unique statistical challenges.

12 Because of the multiple comparisons, often
13 running into the hundreds of thousands in these
14 studies, there's a high statistical likelihood of
15 having false positive results.

16 There are other factors that potentially
17 could bias results or could create false positive
18 outcomes. And these do need to be addressed, and they
19 need to be dealt with.

20 In terms of the evidence of analytical

21 validity for molecular diagnostic tests -- and I'll

1 use that term, molecular diagnostic broadly -- most
2 molecular methods that are used clinically are robust
3 and offer excellent analytic performance.

4 The measures at which we look are analytic
5 sensitivity, specificity, reproducibility, linearity,
6 and consistency in response to changes in analytic and
7 pre-analytic variables. Some of -- or many of these
8 tests have actually supplanted methodologic gold
9 standards which does present a problem with respect to
10 comparisons.

11 That being said, most of these tests are
12 performed using a common set of techniques. There's
13 usually broad experience with them. And there's
14 frequently published data on methods available in
15 various contexts, if not the specific context under
16 study. For novel methods, of course, we need greater
17 scrutiny and probably a de novo analyses.

18 So getting to the components of a screening
19 program, we start them with a disease that has to have

20 a significant public health impact. We have to

21 understand its natural history, and there has to be

1 interventions, not only available, but also accessible
2 to the tested or screened population.

3 Tests need to have high analytic and
4 clinical sensitivity and specificity. But these don't
5 go far enough. And really, we need to look at the
6 predictive value in order to assess the potential
7 efficacy of a marker. And that predictive value
8 depends a great deal on the prevalence of the disease
9 or outcome in the patient to be analyzed.

10 In addition, there are other biases we need
11 to address in these programs. This slide just gives a
12 brief demonstration of the effect of disease
13 prevalence on positive predictive value. If you have
14 a 50 percent prevalence, the positive predictive value
15 is almost a hundred percent for a test with 99 percent
16 sensitivity and 99 percent specificity. Clearly a
17 superior assay.

18 But you can see how quickly, even for such
19 an excellent test, that the positive predictive value

20 drops off with each log reduction in disease

21 prevalence.

1 So in conclusion, what I'd like to say that
2 again, there's no -- there should be really no
3 difference, no foundation difference in the analysis
4 of genetic and non-genetic tests per se. However, the
5 standards for screening need to be significantly
6 higher than for diagnostics.

7 But that being said, that is said with the
8 caveat that this must be done on an individualized
9 case-by-case basis because of the differences in such
10 tests.

11 And then finally, the clinical sensitivity
12 and specificity, but more importantly, the positive
13 and negative predictive values are extremely helpful
14 in establishing the potential utility of a test as a
15 screening marker.

16 Thank you.

17 DR. SATYA-MURTI: We might have some -- we
18 have Barry Thompson. You have a couple of minutes for
19 presentation.

20 DR. THOMPSON: I'm Barry Thompson, the
21 medical director for the American College of Medical

1 Genetics representing the College today. And I have
2 affirmed that I have no financial conflict of interest
3 at this point. I appreciate the opportunity.

4 The College represents some 1500 M.D. and
5 Ph.D. geneticists and other health care professionals.
6 Most of our members are certified by the American
7 Board of Medical Genetics which is one of the boards
8 recognized by the American Board of Medical
9 Specialties.

10 ACMG is particularly supportive of the
11 incorporation of genetic testing and genomic testing
12 into the practice of medicine in support of the 2008
13 report of the HHS secretary in his report on
14 personalized medicine and in consonance with the
15 direction and interest of the current administration
16 and the new President.

17 I'd like to stress that a systematic
18 approach to meeting the requirements for the
19 evidentiary framework sufficient to implement testing

20 that's both medically necessary and financially

21 responsible and for the subsequent coverage and

1 reimbursement decisions that have to be made rests on
2 several points.

3 And this was provided to the 25 February
4 panel as a statement from ACMG, that the acquisition
5 of data from clinical centers and settings sufficient
6 in amount and representation of a target population to
7 permit valid statistical analysis. It's also
8 dependent upon the aggregation and storage of these
9 data within a centralized virtual or physical
10 information technology system for appropriate
11 analysis, timely reporting, and for future access.

12 And lastly, the demonstration of the
13 validity and utility of testing needs to be made prior
14 to clinical deployment.

15 We seek to speak as one of the voices for
16 the medical genetics community. We're an active
17 participant in all aspects of the incorporation of
18 genetics and genomics into medicine.

19 Several of our members speaking today and

20 here in the panel have special expertise in the

21 development and implementation of genetic testing for

1 the improvement of health. And we continue to be an
2 active partner in the development and implementation
3 of relevant policies.

4 So I urge the committee to continue to drum
5 up the expertise that the American College of Medical
6 Genetics, amongst our other professional colleagues in
7 the specific area of genetic and genomic medicine.

8 Thank you.

9 DR. SATYA-MURTI: Okay. Thank you, Dr.
10 Thompson. We're sticking to our schedule quite well,
11 the importance of which is even more apparent in the
12 afternoon, so we can catch our return flights home.

13 We have about 15 minutes. If there are
14 questions for -- only for this presentation from the
15 invited and Dr. Thompson today. Yes? Go ahead.

16 DR. HOLTZMAN: It seems to me, particularly
17 in the first three presentations, that there is a lot
18 of confusion about what is screening.

19 And I go back to the deliberations of really

20 the first federally convened panel, convened by the

21 National Academy of Sciences in the early 1970s.

1 There's a publication still available called "Genetic
2 Screening," in which the definition of genetic
3 screening began with a search in a population.

4 Now, it seems to me that this is a
5 distinction that's very important in terms of our
6 deliberations today because a number of speakers have
7 included in their definition of screening individuals
8 who have been identified through a family history but
9 who are asymptomatic where the availability or the use
10 of a test is defined as screening.

11 Now, I don't think that in epidemiological
12 terms that that kind of testing is screening. And it
13 would save a lot of confusion if we could redefine as
14 it was originally defined -- and it was also in the
15 Wilson Youngner criteria for screening -- that it is a
16 search in a population.

17 So that, for instance, Myriad's contention
18 that coverage for testing for individuals who have a
19 family history and documented BRCA1 cancer causing

20 mutations or cancer-related mutations should not fall

21 under the rubric of screening and should be allowed

1 coverage.

2 And I think a very simple solution for this
3 panel is to redefine screening as it was originally
4 meant to be, a search in a population and not in a
5 high risk situation where there's a family history.

6 DR. SATYA-MURTI: Dr. Salive, correct me.
7 Screening definition is statutory rather than left to
8 the discretion of panel or within agency. Isn't that
9 correct as it stands now?

10 DR. SALIVE: Well, I think we tried to frame
11 it as -- under preventive services. So I guess I
12 would really agree with Dr. Holtzman on this one,
13 that, you know, if we want to discuss how can we cover
14 genetic tests, it has -- you know, other than
15 diagnostic purposes which was discussed at the last
16 meeting, we're going to have to discuss it here as a
17 preventive service.

18 And so, you know, can we change things in
19 the future and recognize things differently? You

20 know, sure. I mean, Congress just gave us this

21 authority last year. And they may change it in the

1 future.

2 But currently, the only way we can cover
3 this type of testing is as a preventive service. And
4 so as was outlined by Dr. Roche, we have these three
5 criteria. And so Preventive Services Task Force comes
6 into play, reasonable and necessary comes into play,
7 and appropriate for the Medicare population.

8 DR. SATYA-MURTI: Will the deliberations of
9 this panel be of any influence on changing it, say
10 legislatively?

11 DR. SALIVE: Well, that's impossible to say
12 by me. So finally, another point I guess that's
13 relevant. You know, CMS doesn't decide what's a
14 disease. You know, we have to implement that in our
15 coverage policies. But we tend to defer to others on
16 that.

17 And so, for example, you know, people have
18 talked about what's high risk versus what's a disease.
19 We don't -- you know, we're not going to be in the

20 business of deciding that. We look to others for

21 that.

1 And so, for example, we've discussed
2 treatment of obesity in Medicare. And if -- at this
3 time, we don't believe that the authorities -- we look
4 to recognize that as a disease. And so we've artfully
5 figured out how to cover certain treatments of the
6 comorbid conditions associated with obesity.

7 And I'll grant you, that's something that
8 those of us who, you know, work in Washington or live
9 in Washington can do more artfully than others. I
10 think that's where we are.

11 So we will look to people like the NIH, the
12 Centers for Disease Control, for defining what's a
13 disease and a treatment for a disease that we can look
14 to.

15 DR. SPERTUS: I guess the question is, you
16 know, I mean, with sort of a basian philosophy about
17 any -- the interpretation of any test is really based
18 on your pretest probability. And we're getting very
19 confused around whether or not we're supposed to apply

20 the same screening approach to patients with a very

21 high pretest probability.

1 And there have been some, you know, moving
2 examples about, you know, people with Lynch Syndrome
3 and people with, you know, multiple relatives dying of
4 breast cancer at very young ages who the pretest
5 probability is extraordinarily high that they have a
6 genetic mutation that would be, it seems to me, very
7 worth screening as opposed to sort of the population
8 average risk where, you know, you're going to have to
9 search of a lot of genes. They're going to all have
10 very low post-test probabilities associated with them
11 with the exception of rare sort of circumstances.

12 And so as we try and conceptually get our
13 arms around the question before us, you know, should
14 there be lots of filters and should we think that
15 there would be applied lots of filters before a
16 screening test is applied such that the post-test
17 probability would be high that the disease is really
18 present, or are we really opening it up to every mom
19 and pop, you know, genetics over the web shop that

20 could apply and go to any patient of any risk for whom

21 Medicare would be stuck footing the bill?

1 DR. SCHEUNER: What I think we also heard
2 that, you know, with the Mendelian disorders, again
3 the BRC1 and 2, the Lynch Syndrome, et cetera, that
4 what we're looking for are variations or mutations
5 that are -- that actually are -- versus associations
6 with markers, these SNPs.

7 I mean, it's really two different things.
8 And I don't know. I think we heard from one of the
9 speakers that perhaps we should think about it as
10 diagnosing a hereditary syndrome when we find these
11 mutations in the single-gene disorders even though the
12 patient may not yet have the cancer that's associated
13 with it and considering the others more of a screening
14 test.

15 DR. RICHNER: The best way to serve CMS
16 right now is for this very important panel to give you
17 some ability to define screening in a way that will
18 satisfy the Medicare restrictions that you have in
19 terms of what that definition is.

20 Is there some way that we can help to define

21 screening with your construct that would be allowed to

1 have sort of variations on what you can cover or not?

2 DR. SATYA-MURTI: Well, I think our current
3 deliberations, with the minutes and transcript, that
4 itself is probably one of the ways we're helping.

5 DR. TEUTSCH: I think that the whole issue
6 of population is really what we're getting at the root
7 of here. Because most preventive services are used in
8 a way that's somehow targeted, whether it's to
9 pediatric age groups, geriatric age groups, men,
10 women.

11 As we talked about, even screening for
12 diabetes according to the Preventive Services Task
13 Force is based on some other characteristics that
14 convey some level of risk that justify screening.

15 So if one -- it seems to me that family
16 history really isn't any different from any of those
17 other kinds of things that are knowable to give you
18 some sort of opriori assessment of risk.

19 And the idea that it's somehow very

20 different strikes me as a bit strange 'cause these are

21 all things that are characteristics of the individual

1 who are otherwise asymptomatic that could tell you
2 whether or not there is a sufficient level of risk to
3 warrant screening.

4 So I think it's a question about how you
5 just define population. We always define it. We are
6 almost always screening in some subpopulation that we
7 think warrants it because the benefits exceed the
8 harms.

9 DR. SATYA-MURTI: Dr. Grant? -- yes. I'm
10 sorry.

11 DR. HOLTZMAN: Well, I take a little
12 exception to that, Steve, because I think then family
13 history becomes screening test, if you will. But then
14 the follow-ups to that, if there is a positive family
15 history, no longer fall into the screening category.
16 And maybe we need another category between screening
17 and diagnostic.

18 But I don't think that to apply -- to skip
19 the family history test and to begin to conclude that

20 screening should be applied regardless of family

21 history -- genetic screening be applied without a

1 family history when there is, for relatively low
2 probability situations, a mistake.

3 There's a big difference between applying a
4 test, a genetic test in a family that's positive for
5 BRCA1 in terms of the predictive value of that test
6 than there is between applying that test in the
7 general population. And I think that that use of the
8 prevalence of the disease is a very important
9 distinction that one should make in defining when the
10 test should be used as a screening test and when it
11 should not be used as a screening test.

12 DR. GRANT: I don't know. I guess my
13 feeling is we're getting a little bit hung up on
14 trying to come to some idea about the definition as
15 opposed to operationally what we're tasked to do.

16 What we're tasked to do is talk about
17 preventive -- preventive tests. Am I correct?

18 UNKNOWN MALE VOICE: Yes.

19 DR. GRANT: In the absence of detectable or

20 clinically manifest disease. Now, whether we call it

21 -- you know, as alluded to and, you know, as clear as

1 the ultimate utility of any test will depend on the
2 patient population, the prevalence of disease in which
3 you apply it.

4 Whether you call it, you know, one or the
5 other, I don't think is really the issue. I think the
6 issue is really we're talking about preventive tests.
7 And that's the way I would see it.

8 DR. GUTMAN: Yeah. I have a series of
9 questions about the ground rules here. So am I
10 reading this correctly that -- that before it actually
11 fits into a decision by CMS, it does require some kind
12 of evaluation by the U.S. Preventive Services Task
13 Force and a determination that there's a level A or B?

14 Is that correct? That in the absence of
15 that, then you don't move forward?

16 DR. SALIVE: Yes.

17 DR. GUTMAN: Okay. So that in itself
18 rations the number of assays.

19 So then the second question I have is, does

20 that mean that the recommendation be -- by the U.S.

21 Task Force, that that recommendation be a screening

1 recommendation?

2 DR. SALIVE: I don't know think it would
3 fall in any other category.

4 DR. GUTMAN: Okay. And then the third thing
5 is, does the U.S. Task Force have a definition of
6 screening? Does anybody know?

7 DR. SALIVE: I did try to find that. And I
8 thought they did. But I couldn't find it in, you
9 know, very concise --

10 DR. GUTMAN: It would probably be a really
11 good idea to have that.

12 DR. SALIVE: I couldn't find a very concise
13 definition. I think they have one.

14 DR. TEUTSCH: The Preventive Services Task
15 Force divides their recommendations into three
16 categories.

17 DR. SALIVE: Right. I know.

18 DR. TEUTSCH: So you can sort of think of it
19 by exclusion.

20 DR. SALIVE: Right. Screening.

21 DR. TEUTSCH: Screening, counseling and

1 chemo prevention so when you're detecting something in
2 an otherwise asymptomatic person, that's what they
3 mean. Because counseling, yes, you could say, "Do you
4 get physical activity?" "No." "Well, you should."
5 That's a counseling sort of a thing. Right?

6 But there's a screen. But what they really
7 mean is specifically in the circumstances where it's
8 distinguished from counseling.

9 DR. SATYA-MURTI: Well, there is a glossary
10 in the USPSTF which spells out what these
11 recommendations are. And they do go into some detail
12 about each of these statements, A, B, C, D. And then
13 they have an I which means indeterminate.

14 So there is some verbiage there for the
15 recommendation. And it's on their public site.

16 One other perspective as a former Medicare
17 medical director is sometimes, some of these task
18 force recommendations just cannot catch up to with
19 what is requested at large with providers, and

20 providers of all stripes, physicians to laboratory.

21 So sometimes, the decision needs to be made,

1 either at the CMS level or at a local medical director
2 level without any of these additional bulwarks of
3 determination of recommendation. That's often the
4 practice.

5 Those of us who have been out there know
6 that. That's what I meant, that comment about science
7 catches up with practice. And things are put into
8 practice well ahead of any recommendation some of the
9 times. And I'm sure this is going to happen here,
10 too.

11 DR. SALIVE: I guess just to amplify in
12 response to those questions from Dr. Gutman, I think
13 that our focus today is on those -- you know, how
14 would we at Medicare consider something to be
15 reasonable and necessary in this arena for screening
16 and prevention.

17 So we can sort of take off the table the
18 Task Force gives it an A or a B. You know, they have
19 their methods. They will evolve. I'm sure they exist

20 -- you know, there are articles about how that has

21 operated in the past.

1 But let's focus on -- so there may be some
2 overlap with what we would use to consider something
3 reasonable and necessary for Medicare and what the
4 Task Force would look at. You know, probably a large
5 amount of overlap, I would say.

6 But let's discuss it, you know,
7 specifically. And that's why we have the series of
8 questions.

9 DR. DANIS: I wanted to just ask one
10 question prompted by the case that Dr. Eng raised
11 about someone of Medicare age who requested testing
12 for the sake of someone younger in their family.

13 I just want to ask whether there is any way
14 that -- as I take it somewhat off the table in terms
15 of what our agenda is today. But it seems to me that
16 one could make a case, if one is thinking about the
17 broader issue of the well-being of that family, that
18 it's reasonable and necessary to do some testing.

19 And I was -- is there any construal of the

20 regulations that would permit reimbursement for that,

21 or is that something we just cannot deal with?

1 DR. SALIVE: Well, I would never say never.

2 And certainly not in this meeting. But the difficulty

3 is that, you know, it's a health care program for

4 the beneficiaries. And so, you know, with rare

5 exceptions, the services are for the beneficiaries and

6 for the treatment of them.

7 So you know, I really -- you know, I

8 appreciate the example as provided by the doctor.

9 There's some rare exceptions where we pay for services

10 on somebody else that will benefit the beneficiary or

11 vice versa. And I can't think of any, actually, in

12 the vice versa category.

13 In the category of things for the

14 beneficiary, you know, transplant donors are sometimes

15 tested before a transplant. And they are certainly

16 likely not to be in Medicare. I think that's an arena

17 where we have some -- some, you know, example.

18 So that's where we are. But let's try to

19 focus on the big picture.

20 DR. SATYA-MURTI: There is a provision under

21 Medicare statute or regulations for individual

1 consideration. Medical directors would routinely do
2 that after extensive case by case review. And there
3 are several such instances. I've done some over the
4 years.

5 I think, Maria, you have housekeeping
6 announcements?

7 MS. ELLIS: Yes. Before we break for lunch,
8 could you please make sure that you discard any trash,
9 as we are not really supposed to eat or drink in the
10 auditorium.

11 And also, please keep in mind any CMS guests
12 here attending the MEDCAC, you are only allowed in the
13 auditorium, out here in the foyer, the main lobby, the
14 lower level lobby, and the cafeteria. And of course,
15 you can use the restrooms which are right down the
16 hall.

17 If anyone is caught anywhere other than
18 those places, you will be asked to leave the
19 conference, and you will be -- you will not be allowed

20 back here at CMS single site.

21 And that's it. We'll see you at twelve-

1 thirty?

2 DR. SATYA-MURTI: Yes. We have --

3 MS. ELLIS: We'll be back at twelve-thirty.

4 DR. HOLTZMAN: Can I ask you a question, one

5 question of one of the panelists, please, before we

6 break? Do I have your permission to ask a question?

7 DR. SATYA-MURTI: Thirty seconds.

8 DR. HOLTZMAN: The question? Yeah. It's

9 for Dr. Wenstrup. You know, I'm very sympathetic to

10 the notion that insurance coverage should be extended

11 to people who are asymptomatic but who have a relative

12 who's had a positive BRC1, BRC2 test.

13 But I'm interested in terms of the Medicare

14 population in particular, you gave an example of one

15 case. But I wonder if, in people over 65, would

16 BRCA1, BRCA2, having survived that long, whether their

17 prognosis is any different than other people, other

18 women, who develop breast cancer after the age of 65.

19 DR. SATYA-MURTI: You do have time for

20 answers in the afternoon. I think we should -- we

21 will open with your answer.

1 DR. WENSTRUP: Have the answer in the
2 afternoon?

3 DR. SATYA-MURTI: Yes. That gives plenty of
4 time to answer, I guess. Thank you.

5 (Whereupon, a lunch recess was taken.)

6 DR. SATYA-MURTI: We first have some
7 questions, one left over from before lunch. And then
8 the second segment of this session in the afternoon,
9 before we go for voting, would be to have an internal
10 discussion among the panelists. And there's enough
11 time for some give and take in the first part, before
12 the internal panel discussion starts.

13 I'd just like to remind that the panel this
14 morning has identified, I think, three major issues.
15 One is the great deal of difference, apples and
16 oranges, et cetera, between monogenic disease and
17 genome wide association test. So like the lawyers
18 say, we stipulate that these two are two different
19 animals.

20 And then we also talked about having a

21 strong family history to raise the prior probability

1 before unleashing a test.

2 And the third major issue I think we
3 identified is that there ought to be some kind of
4 identifiable or tangible outcome benefit as a result
5 of these tests.

6 So I think those three issues are very much
7 risen as the cream of the mixture. So with that as a
8 preface, Dr. Holtzman had a parting question, and you
9 want to respond to that now, in addition to talking to
10 him. Can you rephrase the question?

11 DR. WENSTRUP: Sure. I believe that Dr.
12 Holtzman was asking about what the residual risk of
13 cancer was for patients' hereditary cancer syndromes,
14 when they reach sort of the Medicare age group. Is
15 that a fair --

16 DR. HOLTZMAN: That's part of it. I think
17 the other part of it was if you identified, say, a 65-
18 up individual, BRCA1, BRCA2, what was the prognosis of
19 that natural history in that woman compared to people

20 over that same age who did not have BRCA1, BRCA2, who

21 developed breast cancer.

1 DR. WENSTRUP: Right. Some of that
2 information was provided in the letter that we
3 provided to the panel. But with regard to BRCA, I
4 would refer you to a paper by Chen, published in the
5 Journal of Clinical Oncology in 2007, that did a meta
6 analysis of sort of the penetrance, sort of the age
7 specific penetrance of HPOC, and found that in the 60
8 to 70 age group, the folks who had -- if I can quote
9 here, I think we have it here. "A BRCA mutation
10 carries at a 17 to 19 percent" -- according to Chen's
11 meta analysis -- "of residual risk or for risk of
12 developing cancer between the ages of 60 and 70." And
13 for ovarian cancer, it was 10 to 22 percent.

14 DR. HOLTZMAN: What was it for breast
15 cancer?

16 DR. WENSTRUP: Seventeen to nineteen
17 percent. Again this was a meta analysis, published in
18 JCO in 2007.

19 DR. HOLTZMAN: So it's much lower than in

20 younger women, very much lower.

21 DR. SCHEUNER: It's not in the ten-year

1 interval.

2 DR. SATYA-MURTI: Yeah. Over what time
3 period is this?

4 DR. SCHEUNER: Age 60 to 70.

5 DR. WENSTRUP: Age 60 to 70.

6 DR. SATYA-MURTI: Oh, ten year.

7 DR. SPERTUS: And what's the risk for women
8 without BRCA1 in that time period?

9 DR. WENSTRUP: I'd have to get back to you
10 on that. Charis, would you happen to have those
11 numbers?

12 DR. ENG: In comparison, the lifetime risk
13 declined because they say between the ages of 25 to 80
14 of breast cancer in us is 13 percent lifetime versus
15 10 years. And for ovarian cancer, as you know the
16 lifetime risk for us lifetime is point five to one
17 percent. So this is huge difference.

18 DR. WENSTRUP: With regard to Lynch
19 Syndrome, retro nonpolyposis colorectal cancer

20 syndrome, as I sort of mentioned in my remarks, the

21 Heather Hampel study, published in the New England

1 Journal of Medicine, 2005, indicated that the median
2 age for onset of a HNPCC related cancer was 58. And
3 there have been other estimates that actually put it
4 slightly above that.

5 I don't actually know what the exact
6 prevalence is. But you can see by the average or the
7 median age of onset that still there is a significant
8 amount of residual risk over the age of 65.

9 DR. HOLTZMAN: So you don't have data on --

10 DR. HENDERSON: I think there's a potential
11 of a clinical fallacy here in thinking, which we
12 oftentimes employ in medicine, particularly in cancer
13 medicine. I always give two major fallacies. One is
14 that risk justifies treatment. And secondly, if
15 there's no risk to the treatment, you can give it to
16 everybody. I think those frequently come into our
17 thinking.

18 So you, for example, state -- well, I don't
19 know whether it was you. But anyway in the

20 presentation for Myriad, they say proven medical

21 intervention, such as prophylactic removal of breast

1 tissue and ovaries can reduce the respective
2 occurrence of cancer by over 90 percent.

3 However, I think most of the studies -- I
4 didn't go back and double check these articles. I do
5 know the original study that led to a widespread
6 approval of BRCA1 and BRCA2 from the Mayo Clinic was a
7 retrospective study and included patients of all ages,
8 but particularly younger women.

9 So the question is, is it reasonable to
10 assume that the effects of these therapies are going
11 to be the same, for example, in a 40-year-old woman
12 and a 70-year-old woman. We know that the natural
13 history of cancers and particularly for breast cancer
14 is long. In other words, the period in which the
15 cancer is developing is very long.

16 And secondly, we know from our studies with
17 adjuvant therapy that the reason that we had to go to
18 adjuvant systemic therapy as opposed to things that
19 were tried earlier including perioperative therapy and

20 bigger and bigger forms of local therapy, efforts not

21 to disrupt the tumor and so on, is that metastasis are

1 established some time. In fact, it may be as long as
2 years or even decades before the diagnosis. In other
3 words, we can see that on the basis of the outgrowth
4 of metastasis.

5 And if metastasis have already been
6 established, then, of course, these therapies will not
7 prevent the disease and probably will have no impact
8 on the survival. So it's plausible that the effect of
9 an intervention on a woman who is let's say three
10 years or two years or even eight years from expressing
11 that disease and all of its clinical manifestations,
12 that is, a lump in the mass, with or without other
13 findings, would be different than for a woman who is
14 actually being -- where there is an intervention 20 or
15 30 years in advance of when that would occur.

16 So you have to assume two things. One is
17 that there is a risk associated with a genetic factor.
18 And secondly, that the intervention is going to be as
19 effective. So the question is, are there any such

20 data. I'm not aware of any. And I think that this is

21 fundamental to some of the questions that we're going

1 to be asked to address this afternoon about whether
2 you treat the particular population, the Medicare
3 population, differently than you might -- usually you
4 test differently in the Medicare population than in
5 other populations.

6 So I think this is a fundamental question
7 directly relevant to our charge today.

8 DR. WENSTRUP: With regard to HPOC, you're
9 right. There isn't sort of risk stratified data on
10 risk reduction of for, say, removal of the ovaries.
11 But with regard to Lynch Syndrome, certainly removal
12 of a polyp after sort of a diagnostic colonoscopy
13 would substantially reduce risk regardless of the age.

14 DR. HENDERSON: Well, I was addressing this
15 particularly to BRCA1 and BRCA2, where we have a lot
16 of data and also to the general issue. I mean, even
17 when you come to polyps, you've got the same problem.
18 There you're talking about removal of something that
19 again might not be manifest for two years or five

20 years or twenty years. We just don't know what the

21 natural history of all of these are untreated.

1 DR. SPERTUS: What are the prevalence of the
2 BRCA mutations and of Lynch Syndrome in the
3 population? We heard a nice presentation about the --
4 even if you had a 99 percent sensitive and 99 percent
5 specific test, what the false-positive rate would be
6 as a function of disease prevalence.

7 Can someone just illuminate what the
8 prevalence of these mutations are, particularly in
9 Lynch Syndrome, which we are giving a lot of attention
10 to?

11 DR. ENG: Yeah. Well, since I'm up here.
12 Well, let's go back to BRCA first. We have to walk
13 first before we run. And I think you are supportive
14 of that.

15 So the family history of cause narrows that
16 we're not testing the general population. But I will
17 address that. So BRCA1 and 2 in a very enriched
18 population, so the Askanazy Jewish population, the
19 three founder mutations, is in the one to two percent

20 prevalence.

21 We also know that for all comers of breast

1 cancer, it's a five to seven percent prevalence. So
2 let's say five percent times 30 percent. So then let
3 me tell you about the Heather Hampel study. So I was
4 the chief of the division of human genetics when that
5 study occurred in Columbus.

6 So this is a population based study of all
7 incident invasive colon cancers in central Ohio.
8 There were thousands. Strategy took was MSI testing
9 on the colon cancer so that phenotype for lack of --

10 DR. SATYA-MURTI: Could you just speak a
11 little closer to the microphone?

12 DR. ENG: Sure. Cut to the chase. All
13 incident colon cancers, three percent risk of finding
14 HMI mutations in the population. I mean, that's very
15 large. So what we're actually doing at the Cleveland
16 Clinic and actually major medical centers like Utah,
17 Memorial, Sloan-Kettering, blah, blah, blah, is every
18 colon cancer is subject to IHC and MSI.

19 And those that are positive are screened by

20 a genetic counselor. And of course they have a

21 brochure. They know a counselor's screening. They

1 know a counselor's going to call them. And we call
2 them in for a genetic assessment. The uptake rate is
3 76 percent, which is very high.

4 But you see, that's a quick screen. It's
5 cheap IHC because there's several genes versus
6 sequencing all three genes for several thousand
7 dollars. You pay a couple hundred, IHC, which tells
8 you which gene to look at. And then you just sequence
9 that one gene.

10 DR. TEUTSCH: Charis, while you're standing
11 there, a couple of other questions again relative to
12 the Medicare population, just of interest. How often
13 is the proband actually of Medicare age patient?
14 Aren't the families usually identified before someone
15 in their 60s actually gets it? That's question one.

16 And the second question I have is that at
17 least in breast cancer, we used the Parmigiani model
18 or others to decide who to send for testing in our
19 average population of younger women. By definition,

20 the Medicare population, you've taken out the age part

21 of that index. So is there a modification of those

1 models to decide who to test in the Medicare
2 population, or is it just based on family history
3 alone?

4 DR. ENG: So let's go to colon cancer
5 because the population based study -- so that's the
6 key, population based. It's not selective. So the
7 first study are select. When you select the families
8 get larger and larger, falsely, and the ages get lower
9 and lower. When you use the population based study --
10 so the first Hampel study that was referred, the mean
11 age of the people diagnosed with HPCC purging line
12 mutation analysis is 58.

13 The validation study -- and these are
14 prospective studies which was just presented -- the
15 average age is 62. So that's --

16 DR. HAYES: So it's reasonably likely the
17 proband would be at a Medicare age.

18 DR. ENG: Exactly. And that's why --

19 DR. HAYES: And so my second question is,

20 are there modified models for older patients to decide

21 who to get tested, like we use in, if you will,

1 regular -- in the younger age breast cancer patients?

2 DR. ENG: So we're back to breast versus
3 colon where the only -- where the screen is MSI and
4 IHC testing of the block.

5 DR. HAYES: So it's family history only?

6 DR. ENG: And then it goes down. So just to
7 give you an idea. Of all colon cancers, 15 percent
8 are going to be IHC null. And of those, then one-
9 fifth of that will have a germ line mutation.

10 DR. TEUTSCH: I think there are a few points
11 that build on what you just said. One is, of course,
12 that the actual test in the proband doesn't do the
13 proband any good because the --

14 DR. ENG: No. That's not true.

15 DR. TEUTSCH: Well, but it does very little
16 good because the primary issue is to go back then and
17 screen the others more intensively. From a
18 prophylactic perspective, you've got to --

19 DR. ENG: No. In fact that's no practice.

20 DR. TEUTSCH: These people should be

21 screened.

1 DR. ENG: No. I will be sued.

2 DR. TEUTSCH: May I finish? There are risks
3 for other cancers. The point is -- we're talking
4 about colorectal cancer now.

5 DR. ENG: Yes. We are, even more so.

6 DR. TEUTSCH: And so the point is that those
7 people should be screened for colorectal cancer in
8 general because that's the recommendation in this age
9 group. And the question is, what's the incremental
10 value of knowing that they have HNPCC, that means that
11 you should then screen them more frequently.

12 DR. ENG: Yes.

13 DR. TEUTSCH: And to my knowledge, that's
14 got to be a fairly small number. I haven't seen a
15 study that actually does that. But one could model
16 that out. The primary benefit is going to be to the
17 younger people who would not normally be screened
18 because we're talking about screening tests now.

19 And the incremental benefit of people who

20 would ordinarily not be screened who are younger and,

21 therefore, but are at high risk.

1 DR. ENG: No. No.

2 DR. TEUTSCH: Now the natural history of
3 HNPCC, as I understand it from Lynch Syndrome, is
4 actually a more benign course for the colon cancer
5 than other kinds of colorectal cancer so that the --
6 and again, we can go back. I'm relying on memory from
7 the recent report from EGAPP that basically says that
8 because the course is slower, you presumably don't
9 have to screen them quite as often. And these people
10 should be screened anyway.

11 Now, there are other kinds of cancer, as you
12 said. There's endometrial and other kinds of cancer.
13 And that's a different issue as to how you're going to
14 pursue those.

15 DR. ENG: Please allow me. So the screening
16 guidelines is one baseline of 50 years old for most of
17 us, hopefully most of you don't have a germline
18 mutation. And the next one is at 60, so every 10
19 years. So with the identification of a germline

20 mutation, the colonoscopy recommendation is annually.

21 Why is that?

1 In -- actually, when it was original study,
2 he said, "Unlike" quote "sporadic colonic polyps, the
3 transformation rate of polyp to cancer is extremely
4 fast because of mismatched repair." However, this is
5 a bit illogical, but I think it's true. An S
6 mismatched repair gets worse and worse and genomic
7 instability goes worse and worse. So it's a very
8 quick transformation. And then the prognosis is sort
9 of left alone.

10 So let me give you another little thing.
11 There are now several studies that have confirmed that
12 if you have whether germline or somatic alterations in
13 mismatched repair, so lack of mismatched repair, you
14 should in fact not use 5FU containing chemotherapy.

15 While disease free survival is not affected,
16 overall survival goes down. The investigators from
17 many studies believe that's because of toxicity.
18 Although, I think the older you are, the toxicity is
19 worse. And we'll get more and more back.

20 Same with BRCA1, 2 mutations; these things

21 in phase one and two trials. But when the woman has

1 metastatic disease or even if you're thinking of --the
2 standard chemotherapy does not work very well. And
3 you'll see this, it's sort of this response, and then
4 it just creeps back out.

5 Things like POP inhibitors that seek out
6 genomic damage or lack of repair are showing extremely
7 good promise, even in BRCA related metastatic ovarian
8 and pancreatic cancers. So now we're sort of creeping
9 into it also in forms of therapeutic choice.

10 DR. DANIS: Can I just ask you, are our
11 questions or the example of BRCA1 testing and testing
12 for Lynch really germane to the discussion about
13 screening if you would do the testing for Lynch
14 Syndrome in someone who has colon cancer?

15 I mean it seems to me that this is not
16 necessarily of a worry to us because someone who's got
17 colon cancer deserves to have that kind of testing.
18 We're not talking about screening at this point.

19 DR. ENG: But you are in the linchpin case

20 that I showed you where the person's in obligate care,

21 has no signs of the disease and these to affect it.

1 So that will affect his screening 'cause he still has
2 his colon with him. And if it were a woman, it would
3 affect the endometrium and the ovarian as well.

4 DR. SATYA-MURTI: So it's usually a parent
5 of an effective proband --

6 DR. ENG: Yes.

7 DR. SATYA-MURTI: -- as shown in your case.
8 So that may be the difference, if someone whose child
9 has come down with the syndrome. My question for all
10 oncologists is simply this.

11 So the biologic behavior and natural history
12 of these monogenic identified malignancies is not
13 homogenous. Right? It seems to vary in the tissue
14 type and responsiveness to chemotherapy. Is that
15 correct? So not all --

16 DR. HAYES: That's very correct.

17 DR. SATYA-MURTI: Okay.

18 DR. HAYES: I mean that's what we're being
19 asked to review. My understanding is we're not asking

20 to look at genetic tests that predict outcomes after

21 you have cancer.

1 DR. SATYA-MURTI: No. No. We're not. But
2 my own edification, so this is -- you don't lump them
3 all as -- yes, Dr. Holtzman?

4 DR. HOLTZMAN: Yeah. I haven't heard a
5 clear answer to my question about the prognosis of
6 BRCA1, BRCA2 in -- and for HNPCC, for that matter too,
7 in people over 65.

8 Now, it's interesting. One goes back and
9 looks at the original epidemiological studies from
10 which the discovery of BRCA1 and BRCA2 emerged is that
11 they were limited to younger women; women who
12 apparently did not survive until approximately the age
13 of 65.

14 So the question comes up is whether if
15 you've got a woman who's over 65 who has BRCA1, but
16 does not have cancer, whether for some reasons that we
17 don't yet understand, her prognosis, having survived
18 up to that point, is going to be considerably better
19 than in the young women who have been diagnosed

20 because of a history of breast cancer before age 65,

21 well before age 65 in their families.

1 And I think I've asked the same question too
2 about HNPCC. And until we have the answers to those
3 questions, I don't see how coverage is justified under
4 Medicare for people 65 and over.

5 DR. ENG: Lynch Syndrome is relatively easy
6 because there is a large proportion, so the data is
7 there. There are enough people with germline
8 mutations who are over -- half of the people are over
9 62. We just heard that. So they do -- now, to say
10 that all Lynch Syndrome behaves identically is silly.
11 But that's not possible. There's gene-gene

12 interruption and gene environment interactions. So --

13 DR. HOLTZMAN: Well, let me ask you as far
14 as HNPCC, before you go from there, because my
15 understanding that the U.S. Preventative Services Task
16 Force has recommended colonoscopies as a general
17 screening technique.

18 DR. ENG: Right. Yep. Yep.

19 DR. HOLTZMAN: Now, if you've survived up

20 until 65, you've talked about it in younger people

21 doing an annual colonoscopy, which Preventative

1 Services Task Force does not recommend. But if you've
2 gotten up to age 65, why isn't colonoscopy every 10
3 years good enough?

4 DR. ENG: Because the conversion of polyps
5 to colon cancer is extremely fast with mismatched
6 repair deficiency.

7 DR. HOLTZMAN: Even in women who have
8 remained asymptomatic up to -- people up to age 65?

9 DR. ENG: Yes. It's penetrance. Correct.
10 So then let me tell you one more study which I did.
11 And so (inaudible) taught me when I was a fellow. He
12 -- and you have not changed, by the way. He, of
13 course said, case control studies -- you've got two
14 prospective studies.

15 Now, of course, even though we're saying
16 it's not covered, it shouldn't be covered and all
17 that, it's even as early as 1995, as I was finishing
18 my clinical cancer genetics fellowship at University
19 of Cambridge, it was deemed not ethical on both sides

20 of the Atlantic actually to do a case control study.

21

1 I mean germline mutation -- let's just do
2 the usual screening. Let's do the intense screening.
3 However, before that, the Finnish -- so good for them.
4 Albert de la Chapelle in Helsinki did a prospectus
5 study where people had Lynch Syndrome. So there was
6 no gene at the time, but had Lynch Syndrome, and did
7 usual screening versus anal colonoscopy. And, of
8 course, showed a dramatic decrease of risk from death
9 of colon cancer.

10 We are seeing one and two there's less data
11 because, of course, as you say, the cohort that's over
12 the age of 65 is sort of shrinking.

13 Now, Craig asked -- I guess the reason why I
14 first got up -- is an intervention going to be
15 different in an old and younger woman. And one
16 example is prophylactic TAH PSO.

17 We know that in people, in fact, under the
18 age of 45, if you do a TAH PSO and BRCA germline
19 mutation positive for people, not only does it

20 decrease the likelihood by 95 percent -- it's true of

21 getting ovarian cancer -- it will actually reduce the

1 risk of breast cancer by 40 percent.

2 Now, it doesn't just drop off at 45.

3 There's a curve. And because the older you get, well,

4 you do decrease the ovarian cancer risk because

5 ovarian cancer occurs later in BRCA's. It does not

6 lower the risk of breast cancer as in a younger woman.

7 DR. PERFETTO: I want to follow up on Dr.

8 Holtzman's question because I want to make sure that

9 I'm understanding.

10 If someone had their colonoscopy at 50, and

11 someone had their colonoscopy at 60, and they were

12 asymptomatic, then what really is the probability at

13 65 that something changes that dramatically that this

14 test kicks in and changes the prognosis for what

15 percentage of patients at that age? And if something

16 happened at 65, what's the probability that between 60

17 and 65 there was such a change? Do we know that?

18 DR. ENG: Yeah, because in Lynch Syndrome,

19 these things really do pop very quickly. We've even

20 had patients who have had colonoscopy the previous

21 year, who had colonoscopies at the Cleveland Clinic --

1 good press, blah, blah, blah -- the next year there

2 are already polyps or even insight to cancer.

3 DR. PERFETTO: So we know that there would

4 be a difference in survival if someone was clear at 60

5 and not clear at 65?

6 DR. ENG: I think the Finnish study that

7 addressed that -- and the answer is yes. Because

8 that's the only case control where they do the

9 population screening, which is similar to ours versus

10 the annual in the mutation carrier. Do you know of

11 any other, Marin?

12 DR. SCHROEDER: You're asking if they are

13 clear at 50, they are clear at 60, no Lynch, nothing?

14 DR. PERFETTO: No Lynch. Nothing.

15 DR. SCHROEDER: Clear at 50, clear at 60.

16 DR. PERFETTO: Which is kind of like -- I

17 mean someone would have had to be asymptomatic. We're

18 taking about for the Medicare population. If someone

19 will have to be asymptomatic going into the Medicare

20 program for this to kick in and for it to change

21 things dramatically --

1 DR. SCHEUNER: But you're also assuming that
2 a hundred percent of people are getting colonoscopy at
3 age 50.

4 DR. PERFETTO: We can't do anything about
5 that.

6 DR. SCHEUNER: Well, I know. But let's also
7 agree that a lot of folks present with their colon
8 cancer having never had any screening test prior. And
9 I guess the question you're asking is a good one. And
10 that is, if you have HMPCC and you've had colonoscopy
11 at 50, and you've had colonoscopy at 60, and no polyps
12 were found, then what's the penetrance if you're a
13 gene carrier at 65? I don't know that anyone's done
14 that study.

15 DR. ENG: No, not that specific study. But
16 I think you can certainly extrapolate from the Finnish
17 studies the closest to that.

18 Let me tell you about another gene. It's
19 Paragonimus. It is rarer.

20 DR. SATYA-MURTI: Talk into the mic.

21 DR. ENG: Sorry. Let me illustrate that.

1 So paragominus and pheochromocytomas all come as 30
2 percent and have a germline mutation in one of five
3 genes. Succinate Dehydrogenase BC and D are the most
4 popular, followed by REC. And you will recognize
5 that's mu2 gene, but of course, and VHL, the Von-
6 Hippel Lindau gene.

7 SDHB has such -- it has a funny penetrance.
8 And in some individuals, they are clean and can pop a
9 paraganglioma at 70. So, yes. I'd like to understand
10 penetrance. I think every geneticist does because
11 that's the magic. Even better, I'd like to say -- I
12 mean we say -- okay, the gene I discovered, P10, which
13 gives a risk of breast and endometrial cancer.

14 I can say you have a germline P10 mutation.
15 You're at 50 percent lifetime risk. But which 50
16 percent are you? If only we had that.

17 DR. SATYA-MURTI: Beyond these three triad
18 of threats we've talked about, are the panelists
19 concerned or have any questions outside, in a more

20 encompassing fashion, outside of these three tumor

21 types we've been talking about?

1 DR. SPERTUS: Well, I remain concerned about
2 the translation of knowledge in the practice. So I
3 think that's a really big issue. I don't think that
4 we have a physician work force or the capability to
5 integrate genetic variants into multi-variable risk
6 models and to be able to interpret and counsel
7 patients appropriately. So I'm very concerned about
8 how this would be applied.

9 And, you know, something I haven't
10 articulated is I still have concerns about the
11 diagnostic accuracy of the tests. And I think that
12 we've done a fair bit of genetic research in
13 cardiology. And we had a situation where one
14 extremely good group was using Sequenom to look at a
15 bunch of genetic polymorphisms. Another group was
16 using pyrosequencing that was equally competent.

17 There were a large number of discrepancies
18 between the same genes in the exact same patients that
19 needed reconciliation. And so, you know, I don't know

20 how we're going to really create the CLIA type

21 standards to be sure that what we say somebody has is

1 what they have. And what they do in Cleveland Clinic

2 may not be what they can do in Kansas City.

3 And I don't -- I still have concerns even

4 about that level of -- I mean we're just presuming

5 that we can somehow make the diagnosis very accurately

6 now. And I would put to you that that's not clearly,

7 at least in my limited experience, always true.

8 DR. SATYA-MURTI: Your misgiving applies to

9 these three: Breast, Lynch Syndromes or --

10 DR. SPERTUS: Oh, I thought you meant, was I

11 concerned about -- I thought you were raising three

12 issues that came up earlier. I was just trying -- I

13 mean, I think that you can get your cardiovascular

14 risk profile on the web. And that's very worrisome to

15 me. I mean we haven't talked about cardiovascular

16 disease, but that's a huge problem. And there are

17 people going around, feeling unnecessary comfort that

18 they aren't at risk for cardiovascular disease. And

19 there are people with a lot of anxiety that they have

20 it, even though they don't.

21 And so I think that it's a very befuddling

1 area to zero in on these very specific single gene
2 mutations and very mortal diseases is a little unfair,
3 given that a broad coverage decision would apply to
4 many more diseases including multigenic diseases for
5 which there are very few, very strong penetrant common
6 risk factors that are strongly associated with disease
7 and outcome.

8 DR. SATYA-MURTI: Yes. That's also exactly
9 where I'm going. We seem to be bifurcating into lots
10 of very specific questions about these three defined
11 syndromes and neoplasia. But we're also trying to
12 apply comparable standards to many other genetic
13 tests.

14 So should we preface each of our thoughts,
15 make our thought process summaries, to which one are
16 we talking about, all genetic screening or only these
17 three?

18 DR. SPERTUS: Well, I would just think that
19 if we couldn't reach consensus that it's worth it in

20 these specific scenarios, then it clearly would not be

21 appropriate in these more complex multi-genous

1 species.

2 DR. GRANT: Actually, this discussion is
3 fascinating. But if I'm not mistaken, our task is
4 really to address the broader issues of evidence
5 surrounding genetic testing for preventive purposes.
6 And from that perspective, this is very useful and
7 instructive, in terms of identifying some of the
8 issues involved. But I think to focus in on them at
9 much greater length from just where I sit -- I mean, I
10 know something about a little bit of everything, but a
11 lot about all things.

12 So I would -- I think that the take home for
13 me is, first of all, there are tremendous numbers of
14 uncertainties involved in evidence in terms of how it
15 fits in an analytic and a decision framework and how
16 we apply it to a Medicare population, with a Medicare
17 population being 65 plus or younger. And to me that's
18 where maybe I would like to sort of invest our efforts
19 a little bit more.

20 MS. DAVENPORT-ENNIS: And I just wanted to

21 make a couple of questions to my colleagues on the

1 panel.

2 I think for us in the patient community,
3 there are two things that the BRCA1 and BRCA2 and of
4 the Lynch test clearly have affirmed to us. As we
5 have moved those genetic tests into a broader
6 population in the United States, indeed there have
7 been therapeutic interventions that have prevented
8 development of disease. And there are people today in
9 the United States who are benefitting, as are their
10 families, because of that interventions.

11 I think on a global level, for the patient
12 community, I think what genetic tests do as screening
13 tools, is to provide an opportunity for hope. I feel
14 this panel, to your point, is here today to deal
15 specifically with screening genetic tests as they
16 relate to our ability to employ prevention processes.

17 And I think for patients, I would urge the
18 consideration that any decision that we make in this
19 room today will indeed impact decisions that are being

20 made by payers across all populations of this country.

21 So while we may be here working with CMS and working

1 to try to make a good decision for that population,
2 let me remind you that for the population we serve.
3 Forty-four percent of our Medicare patients are there
4 because of disability and not age.

5 So there's a real need to closely examine
6 the Medicare population. It is aged and disabled.
7 And the real need to also understand the implication
8 of our decision to the broader United States of
9 America.

10 DR. SATYA-MURTI: Anything else? This is
11 perceived as stillness. Anybody else wants to
12 express?

13 DR. SPERTUS: Well, I mean we're all trying
14 to be very patient-centered. Nobody's, you know,
15 trying to -- you can say that a lot of people have
16 been prevented. And I think in this scenario, there
17 are. There are a lot of people, a lot of women
18 walking around with double mastectomies that never
19 would have had cancer. And, you know, there are risks

20 associated with both sides.

21 And if you unleash a -- and I don't mean

1 unleash, like I'm so biased about it. But if you
2 start, you know, allowing a lot of genetic testing,
3 misinformation will come, and people will make bad
4 decisions that will hurt their long-term health
5 because the field isn't mature enough to know exactly
6 how to use it to best tailor therapy to the individual
7 patient. And that's what we're struggling with.

8 I think that there are some terrific
9 examples. We've heard great presentations about
10 diseases where it would alter management and hopefully
11 be a benefit to patients. But, you know, there's fear
12 and there's concerns and there's test mistakes. And
13 there are a lot of things that may make this too
14 premature to start covering broadly, when it's done in
15 every clinic, and every doc just sends the test off,
16 and then has to work with it and counsel their
17 patients.

18 These are the challenges we're trying to
19 reconcile. And it's not that we're not valuing the

20 patients and trying very hard to do the right thing

21 for patients. We absolutely are. And I'm just

1 thinking and worried about the iatrogenesis rather
2 than the patient benefit. And it cuts both ways.

3 MS. DAVENPORT-ENNIS: Could agree with you
4 completely. I think the patient community is also
5 concerned that as we consider where we're going to go,
6 moving forward with the decisions we make this
7 afternoon, what's the opportunity for genuine
8 improvement to their lives if they have the screening
9 genetic tests. Is there going to be any therapeutic
10 intervention that's going to stand behind that once
11 they know what the answer is?

12 And from the patient population that we
13 represent, I think there is great fear for
14 implications of the testing to other generations
15 within their own family. And I think there's also a
16 reality that while genetic testing holds great promise
17 and great hope, there also will be a financial
18 investment to be made, not only by our government, and
19 not only by their stakeholders, but also by every

20 patient who participates and then is participating in

21 their out-of-pocket share to the process.

1 DR. TEUTSCH: One of the tools that we've
2 actually found very helpful to lay this out for folks
3 is what we've been calling outcome tables where
4 basically you take a known population of whatever risk
5 category, age group, or whatever, and actually begin
6 to lay out the consequences of screening in terms of
7 who'll be fine, the number of false-positives, true-
8 positives, so forth. What is likely to be the
9 outcomes of treatment? What are the harms that are
10 likely to ensue numerically?

11 So they can say, gee, I have a group of a
12 certain size. These are the likely consequences
13 overall. So that you can begin to then have a
14 discussion about what the trade-offs are likely to be.
15 And it's also informative to say, gee, sometimes you
16 need -- the issue isn't about the effectiveness of the
17 screening test, it's about the effectiveness of
18 therapies and the fact that you may have good
19 alternatives.

20 So some of these tools I think could be

21 really valuable for CMS as to others as to actually

1 lay out these things in a scenario that's a little
2 easier to understand and, I think, for us to get our
3 heads around than some of what we often see, which are
4 relative risk reductions and things like that, which
5 can sound large because you can have a high relative
6 risk, but it may not affect a whole lot of folks.

7 And this was the issue we were talking about
8 earlier and, I think, the decline rate on some of the
9 testing on ovarian cancer screening. It's not that
10 finding it earlier wouldn't be necessarily a good
11 thing. The problem is you find so many false-
12 positives who have invasive tests.

13 And when people start seeing that, then they
14 sort of say, gee, I can now begin to compare some of
15 the potential benefits which aren't really well
16 established to harms which you can begin to
17 understand. And that's the issue with screening is to
18 understand those trade offs.

19 DR. HOLTZMAN: Well, again, I come back to

20 this point about how much do we know about the

21 prognosis of these disorders for which genetic

1 screening is possible over the age of 65. And let me
2 suggest a sort of simple test, at least for HNPCC.

3 I mean, if you took every person who had a
4 colonoscopy, every person who had a colonoscopy,
5 between age 60 or 70 or pinpoint it to age 65,
6 Medicare eligible and determined what proportion of
7 those people had HNPCC positive mutations. And you
8 said you would say that you were just going to do a
9 polypectomy, what would the difference in prognosis
10 be?

11 I mean I'm suggesting that as a very crude,
12 but relatively easy measure of finding out whether
13 there is evidence that this population should be
14 handled differently. And that's the kind of thing --
15 I mean we don't have those things, as far as I know.

16 DR. SATYA-MURTI: It's interesting that even
17 in these three best identified examples of genetic
18 testing, many of you have brought up gaps and
19 deficiencies about why someone turns colonic neoplasia

20 over a space of 12 months and what's the ultimate

21 natural history and so on. So if these best examples

1 of genetic testing raise this many issues, we
2 shouldn't even be handling any of the other risk
3 factors such as with cardiovascular and so on.

4 We are grappling with -- as I say, you've
5 repeatedly shown there are statistics and data, and
6 even there we have some questions.

7 DR. HOLTZMAN: Well, all I'm saying is that
8 for a disorder, colon cancer, that we have an
9 alternative test, that we don't yet know what
10 increment we're gaining by adding a genetic test on
11 top of that, in a population of 65 and over.

12 DR. ENG: Again I point you to the Albert de
13 la Chapelle study where it's exactly a randomized
14 study to exactly population type screening and annual
15 screening. And they showed risk of death from colon
16 cancer going down at every age group. And then after
17 that --

18 DR. HOLTZMAN: Excuse me. I don't think I
19 got it. Go ahead.

20 DR. ENG: So the study that I keep referring

21 to is the only case controlled study of people with

1 Lynch Syndrome, who are randomized to population
2 screening and to HNPCC high risk screening.

3 DR. HOLTZMAN: At what age?

4 DR. ENG: All ages, throughout, long study.

5 DR. HOLTZMAN: So the majority would be
6 under 65. What's the number of people 65 and over who
7 you included in that study?

8 DR. ENG: It's not I. This was done by
9 Albert de la Chapelle.

10 DR. HOLTZMAN: I think that's a critical
11 question.

12 DR. ENG: Don't remember, but it was enough.
13 Remember, I keep saying this too: The mean age at
14 diagnosis in the population-based study from central
15 Ohio and Finland, identical, is 58 in the first study,
16 62 in the validation study.

17 And one other thing I forgot to tell you,
18 that knowing these mutations -- let's say you know the
19 person has a mutation. We heard that almost half the

20 Medicare population is not 65. It's under 65.

21 DR. HOLTZMAN: No. No. That's a very high

1 figure in her population base.

2 DR. ENG: Oh, your population. I see. I
3 thought that was really high. I said, I didn't know
4 that. Okay. Let's go back to Lynch Syndrome. Let's
5 say I'm a spored case of colon cancer; stage 2, stage
6 3, stage 1, doesn't matter. Usually a heme-collecting
7 will be done, with or without adjuvant depending on
8 what stage.

9 If the person had a germline mutation, the
10 recommendation is a total colectomy. Why? Because
11 the data shows that the risk of metachronous second
12 primaries, third primaries, is 40 percent. It's
13 probably higher. That's an underestimate. And so the
14 standard of care per many guidelines as well that has
15 been adopted is a total colectomy.

16 Indeed, if you are a woman carrying this
17 mutation, the guidelines suggest that when you go in
18 for your total colectomy, a GYN oncologist should come
19 in and do a THBSO.

20 DR. HOLTZMAN: But I think you need that

21 data on an age-specific basis. I'd like to know what

1 that risk is at 30, 35, 40, 45, 50, et cetera, et
2 cetera. And I don't think it's going to be the same.

3 DR. SPERTUS: I'd also say it seems like
4 we're confusing two issues. I mean, once you have the
5 diagnosis, using genetic information to plan your
6 therapeutic approach is a very different application
7 and screening. And so I think that the insight that
8 you can provide from your genetic testing, how it
9 would alter adjuvant chemotherapy, how it would alter
10 a surgical approach, are brilliant insights in
11 evolutions and care that we ought to be doing.

12 However, whether to be screening people as
13 Dr. Holtzman keeps bringing up or even their
14 offspring, which actually wouldn't be Medicare because
15 they'd presumably be 20 or more years younger and
16 would be in some other health care system, unless we
17 do extend Medicare to all people.

18 But, you know, is it going to be relevant to
19 this issue, which is really a challenging one. I

20 mean, we want to believe that the value of this in

21 screening and identifying and altering the management

1 and capturing people at a more curable stage of the
2 disease. But you do need to know the incremental
3 advantage of the screening test over and above
4 everything else.

5 I mean you got their family history. You
6 got their first two colonoscopies. And with that
7 background information, are you going to gain a whole
8 bunch more knowing that they're a carrier of a
9 germline mutation that puts them at risk for
10 colorectal cancer or not.

11 And we can posit that. But I think that
12 availability of evidence to define that incremental
13 gain in knowledge so that we can best justify
14 screening all the Medicare population is what we're
15 really struggling with.

16 And, you know, it is clearly prevalent among
17 the numerator of cases that have colon cancer, but
18 among all people, many of whom will never develop
19 colon cancer after 65. It's just that's what we're

20 struggling with. We just don't know.

21 DR. SCHEUNER: But are we -- I want to make

1 sure I understand what you're saying. We're not
2 recommending that the general population be screened
3 for HNPCC. What the recommendations say is that HNPCC
4 testing in someone who has not had a cancer goes back
5 to the family history. In other words, you have a
6 family history risk factor, and that's what targets
7 you for that potential HNPCC diagnosis.

8 DR. ENG: Correct.

9 DR. SPERTUS: And what's the prevalence in
10 those people?

11 DR. SCHEUNER: What's the prevalence of
12 HNPCC in people with a strong family history of colon
13 cancer?

14 DR. SPERTUS: No. Well, that's wrong.
15 Don't keep tilting the table. Whatever the screening
16 you're going to apply to ordering the test, that's the
17 population we want to know about.

18 DR. SCHEUNER: So there's two approaches.

19 DR. SPERTUS: So if you're going to say

20 people with family history, it should be family

21 history. Only people with more than two relatives

1 below the age of 40 that have colon cancer, then

2 that's a different --

3 DR. SCHEUNER: Right. So that's exactly the
4 type of guidelines. It actually does more than just
5 say you have a positive family history of cancer,
6 therefore you should be screened for that hereditary
7 form of cancer. Well, no one would even begin to
8 suggest that. It's like the USPSTF recommendation
9 about BRCA1 and 2 in asymptomatic women. Yeah. You
10 have multiple family history criteria. It's not just
11 you have a relative with breast cancer. It's actually
12 a more stratified risk approach.

13 DR. SATYA-MURTI: We have some questions on
14 this side of the aisle, too, imaginary aisle on the
15 left side. So after you, jumping over to this side.
16 Please go ahead and finish.

17 DR. SCHEUNER: Well, I guess I'm done. I'm
18 just saying that, again, what we're talking about, the
19 person who hasn't had cancer, and when it's a

20 mendelian disorder, whether it's a long QT syndrome,

21 hereditary cardiomyopathy, hereditary cancer syndrome,

1 the approach is always around finding -- the approach
2 is generally around a high risk family history. And
3 it's not just positive-negative. It's actually
4 recognizing patterns in the family.

5 DR. SATYA-MURTI: That's a necessary
6 prelude.

7 DR. SCHEUNER: Yes.

8 DR. GRANT: If I could take a stab once
9 more? What's of interest here -- and it's very
10 poignant -- is is that uncertainties are myriad.
11 There are lots of things we don't know. But to start
12 with, I mean as EEF does, as USPSTF does, decisions
13 are never simple. There's some analytical framework
14 that's incorporated with some decision model to make
15 decisions.

16 And you have to fill in all kinds of pieces
17 of the puzzle. It might be this one. It might be
18 that one. And some of them you know. Some of them
19 you don't know. And I think this is instructive from

20 that perspective to demonstrate how difficult it might

21 be for the Medicare population, how difficult it might

1 be in genetic testing.

2 But nevertheless, that really is the issue.

3 And I think if we sit here focusing on this parameter

4 or that parameter or the sensitivity, the specificity

5 to the false-negative, false-positive, and never get

6 to talk about what are the outcomes that are valued

7 and how do we put all these pieces together.

8 And, for example, you know why is this

9 evidence -- is this evidence different? And how are

10 we going to piece it together? How are we going to

11 suggest to Medicare to say derive some decision

12 certainty about it. What is that decision certainty?

13 I guess for me that would be very valuable.

14 DR. SATYA-MURTI: So yours is really the

15 very first question, how is this any different from

16 any other testing methodology we employ. So with

17 probably family history and the definition of the

18 disease is a lot more important than many of the other

19 tests, non-genetic tests.

20 DR. HAYES: So I would argue -- several

21 people have said this -- but context is important for

1 any test. And I think that's true here. So I find it
2 hard for us to discuss genetic test on all of
3 medicine, when I'm talking perhaps about a genetic
4 test to determine whether a BRCA blend patient should
5 or should not have a mastectomy. And he's talking
6 about whether a patient should or should not have
7 lipid screening or something.

8 DR. SATYA-MURTI: So by context, you mean
9 you need to really individualize the tests.

10 DR. HAYES: So Doctor, I mean I think it's
11 wise and good for CMS to have criteria for what they
12 want to approve for any test, but then within that
13 there have to be contexts for that specific test.
14 It's true in all of medicine. I mean, I don't order a
15 PET scan on someone who's never had breast cancer, so
16 on and so forth.

17 DR. SATYA-MURTI: So you think it's even
18 possible for us to come to any consensus, relative
19 consensus, without context being a very important

20 part. Is this just not going to be outside of a

21 specific context? It's just premature to consider all

1 of them together? Was that --

2 DR. HAYES: Yeah.

3 DR. TEUTSCH: Yeah. I'd like to talk about
4 three different kinds of evidence here. One is sort
5 of the context-free evidence. And that's the key part
6 that we need to start with.

7 Context-free evidence is what do we know
8 about the incremental value in terms of health
9 outcomes of using a genetic test for screening
10 compared to alternatives. That's sort of the
11 objective information. And then can we identify a
12 population or a group for whom there would be a net
13 benefit?

14 Then there's a series of contextual issues.
15 Some of them are what we would call social science and
16 their context sensitive information -- and you can
17 know that, too -- like cost-effectiveness and things
18 like that. You can determine societal values and
19 things like that.

20 And then there are things that are purely

21 colloquial, that are about the individual or pressure

1 groups or all other kinds of things. That's all kind
2 of evidence that's used for making a decision.

3 But I think what we need to first help
4 straighten out is what is the incremental value, that
5 context-free information, that basically says can we
6 identify a group for which this provides an
7 incremental net health benefit based on some general
8 values. And then you can bring in some of the social
9 and other kinds of contextual informations that would
10 deal with various other things.

11 And it's not that those aren't relevant.
12 They absolutely are. And as you get to the
13 individual, they can become paramount. But the point
14 is, I think first we've got to get the science
15 straight. So that when we consider these tests, we
16 can say this is what we know about the benefits.
17 These are what we know about the harms.

18 Or if we're uncertain, so that we can then
19 -- and before we proceed with making general

20 recommendations for things that are going to be used

21 at a population level, the bar should be pretty high,

1 that we're pretty certain that there is going to be a
2 net health benefit to the people in a specific group
3 for whom we are going to be applying that test.

4 DR. SATYA-MURTI: So you envision a
5 hierarchy where the overriding concern is context
6 insensitive or context-free decision making. And then
7 we zoom down further to specific disease test
8 association and then to individual groups.

9 Is that correct to paraphrase you that way?

10 DR. TEUTSCH: Right. And you know you can
11 see this -- I mean the paper, I think, that lays this
12 out best is Lomas, with the Canadian Center for Health
13 Services Research, who really talks about this as a
14 general framework for decision making.

15 But I think where the Youth Preventive
16 Service Task Force and EGAPP are working, they're
17 saying, you know, we've got to get that right.
18 Because first, we need to have moderate certainty that
19 there is a moderate net benefit. If we can't get that

20 far, then we're uncertain and probably isn't ready for

21 coverage decisions.

1 But if once you're that far, then you can
2 talk about, gee, are people going to accept it? Is it
3 going to be cost-effective? And those are another set
4 of questions.

5 DR. SATYA-MURTI: Yeah.

6 DR. TEUTSCH: So you can tier it.

7 DR. SATYA-MURTI: Dr. Holtzman, just a
8 minute. So we have at this juncture, before we segue
9 to what we're already doing, internal panel
10 discussion, is there anything else for the presenters,
11 any other questions amongst the panelists?

12 If there isn't, we will continue this line
13 of discussion for a little while longer and then move
14 onto voting part.

15 DR. HOLTZMAN: Okay. Well, Dr. Teutsch just
16 mentioned I think a very key word that we have to
17 emphasize, and that's net; net incremental value. Dr.
18 Grant, I guess it was, who said well, let's think --
19 forget about the sensitivity and specificity and think

20 about outcomes.

21 Well, there are two classes of patients who

1 will be influenced in terms of outcomes. Those are
2 the true-positives and the false-positives. And we're
3 only going to find out about the relative proportion
4 of the two if we know something about clinical
5 sensitivity and particularly specificity.

6 So I think again in particular when we're
7 dealing with screening, as I've defined it before as a
8 search in the population where most of the people will
9 not have the disease in question, that the problem of
10 what harm you do by treating false-positives is very
11 important in determining the net incremental value.

12 DR. GRANT: Since I was addressed, I wasn't
13 alluding to we should forget about sensitivity and
14 specificity at all. What I was referring to is the
15 sensitivity of the specific disease. You know,
16 obviously, false-positives and false-negatives are
17 critical to being able to determine that benefit.
18 They drive it, among other things. So just to correct
19 that. Okay?

20 DR. WEINER: I have been somewhat quiet,
21 because although I'm a public health professor, I know

1 a lot about screening; I know nothing about genetics.
2 So that's sometimes helpful, perhaps. And I'm trying
3 to understand as someone who knows something about
4 screening what's different here. And I get it that
5 the family history is an important factor on the risk
6 side. And family benefit is an important factor on
7 the outcome side.

8 Otherwise, I'm a little confused. Steven,
9 what you said was right on target. Going back to page
10 six, the MIPPA 101 says that we can't pay for anything
11 at CMS unless it's recommended with a grade A or grade
12 B by the U.S. Preventative Task Force. So the other
13 two bullets are where we are today, I think.

14 It's reasonable and necessary for the
15 prevention or early detection of an illness or
16 disability. So we're back to the prevention or early
17 detection. And so we have to make sure that we answer
18 the question that we focus on that. Tony, you said
19 that several times.

20 And then also, appropriate for the person

21 eligible. But I'm confused that we've been talking

1 about the second bullet, but that's not our charge.

2 The second bullet, meaning the screening test meets

3 the first criteria that you mentioned.

4 And then moreover in reading the questions,

5 it says nothing about a specific test. So we're not

6 allowed to talk about a specific test. We have to

7 talk generically. Yet, I understand that we're being

8 informed by the three tests that we have most

9 information on.

10 DR. SATYA-MURTI: Good point.

11 DR. WEINER: You'll have to be a magician to

12 bring us to the next step where we can vote on

13 something that we understand. But you're very good, I

14 know.

15 DR. SATYA-MURTI: So this is a good point.

16 So what you're stating is let's not even talk about

17 any of the others, except those that carry A and B.

18 That's a sub-selecting process, so we could have been

19 done with that three hours ago.

20 DR. WEINER: If that's the law. On the
21 other hand, I have been on many of these, and we

1 sometimes tell them the law may be wrong at times.

2 DR. SATYA-MURTI: I don't know that we are
3 not allowed to talk about it. I think we came to this
4 conclusion as capsulized by you only because we spoke
5 so much about all of the others. So it's like the
6 family circus cartoon. We wandered all around and
7 then came down to this point.

8 DR. GUTMAN: So I actually think that the
9 specific discussion has been quite instructive 'cause
10 I would argue that the questions have been put on the
11 table, and that they translate from these examples to
12 any examples that you would put on the table. And the
13 questions as I read them, coming from Dr. Holtzman, is
14 first does the test make a difference. And two,
15 where's the beef? And three, is the beef in patients
16 who are older than 65 or not?

17 I think whether it's a genetic test or a
18 non-genetic test; I think whether it's a screening
19 test or a diagnostic test or a monitoring test; you

20 can't escape those three questions.

21 DR. SATYA-MURTI: Well, as far as those

1 three questions go, Jeff, are they and/or one of the
2 three? I thought it was and. Correct? So it's all
3 three in your -- okay. So that's also important
4 because sometimes some of the language is written
5 and/or one of these three. So all these three must be
6 met, these three criteria.

7 And it says it is recommended, so I know you
8 mentioned it must be. Again, I think that we're hair
9 splitting here, but it is recommended.

10 DR. SALIVE: It's in our regulations,
11 written slightly differently from here. But it must
12 meet all three. And as I said earlier this morning,
13 though, I think there's definitely some overlap
14 between how the task force reviews things and how we
15 review for reasonable and necessary. So we're asking
16 really your general advice on these questions. And
17 thanks for restating that. I like especially, where's
18 the beef?

19 DR. GUTMAN: There are actually six

20 questions on the table. And the three you just

21 covered weren't where I was trying to go. So I'm

1 assuming that you've met the U.S. Task Force
2 requirements and that CMS is asking for advice on what
3 to do after you've met them. Or if they decide to
4 disregard that as a requirement, that they're asking
5 us what to do to say something is reasonable and
6 necessary or necessary and reasonable.

7 And I'm suggesting that for the individual
8 issue that does it make a difference? And that
9 difference might be different for lipids and for brain
10 cancer. And then two, is there data to support that
11 difference? And three, is that data in patients over
12 the age of 65? I guess in terms of what makes a
13 difference, there is opportunities for risk benefit
14 analysis, opportunities for cost effectiveness or cost
15 utility.

16 There are lots of opportunities for your
17 threshold of what is a meaningful difference. I'm
18 assuming you're not asking us to answer that
19 generically for all tests. That would be quite a

20 trick.

21 DR. SCHEUNER: And maybe could we also just

1 think of the population as yes, the majority is over
2 65, but not the entire Medicare population. So there
3 may be some individuals for whom, if we just continue
4 to talk about over 65, they'll fall through the
5 cracks. I just want to make that comment. I think it
6 was brought up earlier as well.

7 DR. HENDERSON: I think the difference --
8 one of the big differences pertaining to the task
9 force and Medicare -- and I don't know that I'm saying
10 anything new that hasn't already been said today, but
11 I think it's something we have to keep emphasizing.
12 And that is, the task force says to say, here are the
13 data that are available, and here's how they can be
14 interpreted. As opposed to Medicare, which has to say
15 specifically, are there data, an adequate data to draw
16 any conclusions in patients 65 and older or in the
17 Medicare population, if you want to broaden that a
18 little bit?

19 And one of the things that's striking in

20 almost all of these studies that have been done in the

21 past, not just those that are genetic in nature, but

1 in general, is the paucity of data for patients over
2 age 65. I don't know about cardiology -- those of you
3 who are cardiologists can correct me -- but in
4 oncology, the evidence is overwhelming that the
5 disease increases in incidents rather substantially
6 throughout life, including well beyond 80.

7 And yet, the percentage of patients or the
8 number of patients who are enrolled in properly
9 controlled trials goes down. And it goes down very
10 strikingly after the age of 65 and particularly after
11 the age of 70. So, for example, in randomized trials
12 of adjuvant therapy, out of 10,000 patients, you may
13 have 150 or 200 that are over age 70.

14 And yet we draw sweeping conclusions about
15 the use of these therapies or have in the past in
16 women 70 and over, with no evidence. And I don't
17 think that this is the kind of challenge that the task
18 force has really taken up, I don't think. It's
19 something -- when it comes to mammography, it's clear

20 -- I can't remember whether the hip trial stratified

21 or not. I don't believe that they did. I think the

1 over and under age 50 was entirely post hoc. And it
2 has taken us many decades to sort out. And I don't
3 think we've even yet sorted out completely the issue
4 of mammography, which is definitely a screening
5 evaluation, if not genetic, and age.

6 But there is clearly a very, very important
7 age factor on what happens with the case of
8 mammography. Mammograms become a more effective tool
9 as the patient gets older, rather than less effective
10 tool. But age is an important factor, which is a
11 point that we've made over and over again. But which
12 way it's going to cut is not something we can predict
13 a priori. And the particular challenge for Medicare
14 is so often they are drawing sweeping conclusions
15 about an older population, without any data from that
16 -- or very little data from that population at all.

17 DR. SATYA-MURTI: This is true with most
18 MEDCAC meetings. Towards the end we always ask is
19 this applicable to Medicare with the co-morbidities

20 and poly-pharmacy of Medicare-age patients.

21 If I recall correctly, the task force has

1 very few, if any, Grade A and some Grade B. So
2 really, if we were to stick to the language here and
3 be very observant of that, it only brings us down to
4 about two or three tasks. Those who are familiar with
5 the task force more than I, would you all agree that's
6 like only two diseases or three?

7 DR. TEUTSCH: There are actually quite a
8 few. There are a couple things. First of all, there
9 are lots of these things that do apply to the older
10 population that are -- because certainly most of the
11 cardiovascular screening tests applied.

12 UNKNOWN MALE VOICE: Genetic tests.

13 DR. TEUTSCH: Well, but we've only looked at
14 two genetic tests now. I think there are a couple of
15 problems with that. One is the U.S. Preventative
16 Service Task Force is gearing away from doing a lot of
17 genetic tests. They hope I think EGAPP will do a lot
18 of those. And that's not included in the legislation
19 currently. That's an issue.

20 Also conspicuously absent from the language

21 in the MIPPA law is immunizations, which are not

1 included in preventive services that are under this
2 umbrella. But a couple of things that the task force
3 is doing should be helpful to us or to Medicare. One
4 of which is they're finally beginning to do some
5 modeling studies that can be used to -- they've always
6 had criteria for extrapolation.

7 But now they're beginning to use actually
8 some modeling techniques to begin to look at
9 appropriate age cut offs and so forth. And we saw
10 that. For those who were on the CT colonography
11 panel, we got a chance to see some of those models
12 actually being done by NCI in the CysNAc group.

13 We then began to look at what are
14 appropriate age cut-offs for testing. And I think
15 that kind of thing will actually allow the task force
16 now to begin to at least make recommendations that are
17 more applicable, even in the face of the fact that we
18 don't have the kind of RCT data that we often would
19 like to have.

20 DR. SATYA-MURTI: Dr. Scheuner, did you have

21 a comment on the task forces recommendations?

1 DR. SCHEUNER: Did I?

2 DR. SATYA-MURTI: Yeah.

3 DR. SCHEUNER: Well, I thought with regards
4 to genetics, I think we heard earlier in the
5 presentation in the morning that we have some guidance
6 around hemochromatosis testing and BRCA1 and 2
7 testing, from USPSTF. And to my knowledge, those are
8 the only two.

9 DR. SATYA-MURTI: And the Lynch Syndrome?

10 DR. SCHEUNER: That's EGAPP. That's an
11 EGAPP report.

12 DR. SATYA-MURTI: It's not income from --

13 DR. SCHEUNER: Not USPSTF.

14 DR. SATYA-MURTI: So I would ask Dr. Salive
15 myself, should we then stick to this combination of
16 these three and panel answer?

17 DR. SALIVE: Well, no. I mean I think it
18 was said well pretty earlier, not by me, that we want
19 advice on the evidence standards we should use for

20 evaluating these in the future. I think the examples

21 provide some insight into how these tests are being

1 applied now. But as I said, congress can change this
2 law, too.

3 I mean it may well be that, as Steve said,
4 the task force has some things they have focused on
5 and other things they haven't. That may evolve. Or
6 congress may just revisit preventive services for
7 Medicare based on some other reason.

8 So we would like to know what you think the
9 evidence standard medicare should use for evaluating
10 these tests and, in this method, as a screening
11 method, going forward. So that's why we posed the
12 questions as we did. The comments are quite on
13 target, so far. And as you get to number eight, that
14 does address this issue that we see all the time in
15 doing coverage decisions about how does the evidence
16 generalize to our population. Because we know we
17 can't generate a lot of direct evidence in our
18 population. It's a piece of the pie.

19 DR. DANIS: It strikes me that one way to

20 help us be clear in our thinking about the guidelines

21 we're going to use would be to be consistent in our

1 thinking, consistent with the guidelines that we
2 created in February for diagnostic uses of genetic
3 testing. It seems to me one of the things that is
4 useful in setting guidance is to have a general for
5 those who are creating the evidence and for those who
6 are making coverage decisions based on the evidence,
7 that one panel after another have some kind of
8 consistent thinking.

9 And while the difference today seems to me
10 that the threshold for the solidity of the evidence
11 should be -- we should have a higher standard. In
12 general, what kind of evidence we use ought to be
13 fairly similar to what we use when we talked about
14 what we consider solid evidence.

15 And I just wanted to put that on the table
16 as a strategy. Because what we generally did last
17 time was to say, EGAPP has set a whole set of criteria
18 for what are better levels of evidence, and we adopted
19 it. And we could logically do the same thing today,

20 setting a certain threshold.

21 MS. RICHNER: There's more than EGAPP. I

1 mean, this certainly is an extremely dynamic area,
2 first of all in evidence guidelines and development
3 and then second of all in the genomics world. And I
4 think it would be -- I mean as a consultative body
5 here, that we should also consider what's been going
6 on at ARC, what's been going -- you know, ISPOR, next
7 week, is going to actually talking about genomics
8 testing and setting guidelines and evidence for that.

9 There's a whole variety of different
10 consensus groups that are building guidelines and
11 thinking about this in a very meaningful way. So I
12 just don't think -- Marcel, you're very aware of a lot
13 of these kinds of things that are happening. I just
14 think from my perspective here, it's how do we balance
15 that genomic screening should be handled differently
16 than traditional screening; and then have a dynamic
17 response to that again, making sure that we're very
18 involved in how this field is evolving, that we look
19 at individual tasks on an individual basis.

20 I think that's what is ultimately going to

21 have to happen here. I can't -- embracing all the big

1 guidelines, but then also looking at it on an

2 individual by-case by-case process.

3 DR. DANIS: Let me make sure that what I'm

4 saying is clear. I'm not saying that the scientific

5 evidence for any particular test is judged by -- you

6 know, it should be judged by only one, you know, group

7 that's doing the analysis. I think that the strategy,

8 the logic, that was used in terms of evidentiary

9 standards for judging one test or another and whether

10 it is ready for -- whether all the criteria are

11 therefore saying it's worth covering, it seems to me

12 using that kind of logic should be fairly similar from

13 case to case.

14 Do you understand what I'm saying? I'm not

15 talking about whether AHRQ's I'm not saying we

16 should ignore the evidence collection that lots of

17 other groups have done.

18 DR. SATYA-MURTI: So consistency in the

19 criteria.

20 DR. TEUTSCH: It occurs to me, Dr. Salive,
21 for guidance, that you would want to follow a lot of

1 the criteria that have already been articulated. You
2 want to make sure the test could be reproducibly
3 quantified in multiple labs with multiple methodology
4 so that you're always getting the same answer.

5 You would want to understand. And I think
6 that the idea of using outcomes table -- so you could
7 really plot out for a thousand Medicare beneficiaries
8 how many patients would be newly discovered that
9 wouldn't be discovered, so you could focus on the
10 incremental benefit of the test.

11 And I think that's really important because
12 that will carry a lot of the information about the
13 prevalence of the disease, the false-positives, the
14 false-negatives; and to make a decision to really
15 count out a thousand people, how many are harmed; how
16 many are benefitted. And then once something passes
17 those two barriers or levels, that Medicare really
18 ought to focus a lot on how it would be translated
19 into practice.

20 There are only a few Medicare approved

21 transplant centers, only you have to meet certain

1 requirements to be able to put in your ICD and have it
2 be covered by Medicare. Similarly, you have to give
3 some attention to the translation of this in the
4 practice so that it is done in centers that can inform
5 and take advantage of all the potential advantages
6 including the reaching out and testing of relatives
7 and family members, et cetera, so that some of the
8 benefits in your outcomes tables that you articulate
9 to justify covering a test can in fact happen.

10 So I think if you can do those three things;
11 accurately get the test, dramatically quantify the
12 potential benefits and harms, and then make sure you
13 have the infrastructure in place so that if covered,
14 it's implemented in the way you analyze it to be
15 implemented. And then I think you could test by test
16 go through the screening value of the different
17 proposed things to be covered.

18 DR. SATYA-MURTI: We should be ready for the
19 questions and voting pretty soon. Yes?

20 DR. HAYES: So if I may just raise one

21 question, which is much more common in the cancer

1 arena, I think. And that is -- and Dr. Teutsch sort
2 of raised this in his conversation this morning. And
3 that is, do you need to do prospective trials in each
4 case to get to an answer here? Because the point is
5 that by the time you get the trial done, it's 10 years
6 later, and the technology has changed to the extent
7 that it's no longer meaningful.

8 And we've thought a lot about the use of
9 archived tissues. And Dr. Eng came to present some of
10 this with either case control or what we're beginning
11 to call prospective retrospective studies, where you
12 don't just pull samples out of the freezer and run
13 them, but you actually think through the study before
14 you pull them out of the freezer.

15 And in terms of the level of evidence you
16 need -- and it seems like most of the questions you're
17 asking us have a lot to do more with process than a
18 specific marker, which is great. I think this is an
19 issue of whether or not CMS is willing to accept

20 prospective retrospective studies using archived

21 samples.

1 Now, there are pre-analytical issues that
2 have been discussed at great length here. And for
3 DNA, that's usually not an issue. You usually test
4 for -- DNA's pretty tough. It's hard to do that. For
5 RNA, it's a big issue, where the sample is archived in
6 a way that the results you get from an archived data
7 set are the same that you'd get from Mrs. Smith
8 tomorrow, if you ran the same assay.

9 But I think these are things -- and there
10 are publications either now or in press that are
11 beginning to give guidance about how the design --
12 again I'll say prospective retrospective studies, that
13 I think CMS might want to consider as they move
14 forward in these sorts of deliberations.

15 DR. WEINER: Usually this time in the
16 afternoon, when we're trying to find prototypes and
17 models of blending evidence with real world payment
18 and politics, you know, unfortunately our hands are
19 tied, as I was alluding to before, by various

20 legislation. And unfortunately, in this health care

21 system and Medicare particularly, we're not able to

1 think population benefit. But there are some health
2 systems in the world that are pretty advanced that do.

3 So I would ask either the panel first, then
4 the audience, what, for example, the U.K.

5 Nationalistic Clinical Effectiveness NICE does on
6 this, what some of the Scandinavians do, and closer to
7 home, Kaiser Permanente.

8 I'm very interested in knowing what any one
9 of those organizations -- if someone actually knows
10 the policy, if they're up on it.

11 Anybody know about that? Either the NICE or
12 the Kaiser Permanente?

13 DR. SATYA-MURTI: We talked about that
14 briefly during the break about what NICE does. I
15 think they've been silent in this issue. Did we not
16 talk about NICE being somewhat silent on these issues?

17 DR. TEUTSCH: I don't think they've taken up
18 many of them because they're not all that prominent in
19 their issues of concern at the moment.

20 MS. RICHNER: The only one that they've

21 addressed that I know of at this date is the onco-type

1 for breast cancer. That was the only one. But you're
2 right. In general, they have been silent.

3 DR. SATYA-MURTI: Maybe they have been burnt
4 by the Alzheimer issue.

5 DR. WEINER: How about Kaiser Permanente?
6 Anybody know? Kaiser Permanente, anybody have
7 experience with them?

8 DR. SCHEUNER: Has Kaiser developed policy
9 or tech assessment around genetic testing? Is that
10 the question?

11 DR. WEINER: That's the question.

12 DR. SCHEUNER: Yes. They have.

13 DR. TEUTSCH: Tech has.

14 DR. WEINER: And what is their policy,
15 Kaiser Permanente? When do or don't they cover it?

16 DR. SCHEUNER: Well, I think they are
17 similar to the USPSTF recommendations with respect to
18 BRCA1 and 2. I think they also have guidelines
19 around, you know, most of the Mendelian stuff that

20 we've talked about.

21 I think very few -- maybe Mark could speak

1 to it. I don't know. But the more multiplex panels,
2 where everything I've read, there's very limited
3 evidence for clinical validity and utility.

4 DR. WEINER: Is there anything we can learn
5 from them, do you think, that we haven't discussed
6 already?

7 DR. SCHEUNER: I suppose so. Yeah.

8 DR. SATYA-MURTI: Mark, do you know?

9 DR. GRANT: I mean there are people here who
10 know more about -- Craig and Marin, you know. We have
11 policies on a number of tests that are not -- so a lot
12 of the participating plans have them as well. The
13 difficulty is we had a discussion -- it's just a
14 little bit off the topic, is that there are no CPT
15 codes for a lot of these.

16 But we have looked at a number of the
17 policies in a less formal -- at a less formal level
18 than as considered in one of our typical systematic
19 review.

20 DR. SATYA-MURTI: One thing I do know from

21 being associated with coverage part in Medicare is

1 EGAPP and others stop with recommendation; and Tech
2 and Blue Cross also, many a time, stop with technology
3 evaluation and a statement. But in terms of coverage
4 and reimbursement, Medicare goes a step further
5 because you need to answer questions in an entitlement
6 program whether it's covered or not.

7 And therefore, the converse of your
8 question, Dr. Scheuner, would be many of them are
9 probably looking at Medicare to say, is Medicare
10 covering? If so, maybe do that. I know that for a
11 fact in neurologic area where I help out my academy
12 with some policies. So often the question is, has
13 Medicare said anything about it?

14 DR. GUTMAN: So you can't pretend that EGAPP
15 isn't there. It's right on the table, and the ACCE
16 method is a proven method. And certainly it was my
17 sense from the February meeting that there was some
18 notion that that was a very reasonable starting point.
19 That was a goal standard that there might be

20 equivalence; maybe AHRQ has an equivalent; or maybe

21 Blue Cross/Blue Shield has an equivalent; or Kaiser

1 has an equivalent technology. And there might be
2 shortcuts as Dan suggested. You might get your
3 randomized clinical trial off of a very cleverly
4 obtained bank of frozen samples.

5 But it just seems to me you can't push ACCE
6 off the table. You can't push that methodology off
7 the table. And that if we were looking for the right
8 target, the right target is to do it right and to
9 answer the questions that Dr. Holtzman was just
10 asking. And just as was the case in the last meeting,
11 there might be some circumstances where there is such
12 compelling information that you would want to take a
13 shortcut or be willing to take a shortcut.

14 So you wouldn't want to lock the process
15 into ACCE in an inflexible malleable totality. But
16 for God sakes, if we don't create a reasonably beacon
17 of reason and truth in lab tests, why bother?

18 DR. SATYA-MURTI: Actually, by the voting
19 process and deliberation of this panel, you might

20 actually end up saying, well, keep doing the kind of

21 work you do to ACCE and just make it even better. Or

1 the strength of today's voting might reflect on that.

2 Don't change anything in the recipe. Keep doing it

3 because we like it that way.

4 DR. SCHEUNER: But one of the fundamental

5 things with the ACCE framework for evaluation of

6 genetic tests is the disorder and the setting. And

7 that doesn't get into the acronym, but that comes

8 first. So just -- you know, and I think we've talked

9 about that.

10 DR. HOLTZMAN: Well, Dr. Gutman has

11 emphasized the ACCE approach, and I concur with that.

12 The problem is not entirely with the agency CMS, the

13 agency responsible for Medicare, but with other

14 government agencies and other government regulations.

15 For instance, take Myriad as a prime

16 example. Myriad has to comply with CLIAA, but it does

17 not have to comply with the medical device

18 requirements under the Food, Drug, and Cosmetic Act

19 because it's testing in one laboratory.

20 Now, the reason that impacts on ACCE is

21 under CLIAA, you only have to show analytical

1 validity. You don't have to demonstrate clinical
2 validity or clinical utility, as we know it. So I
3 think if we're going to really be serious about trying
4 to promote getting the evidence that we need to
5 satisfy ACCE, then we have to recognize that there are
6 shortcomings elsewhere in the government and, to the
7 extent that we are concerned about those shortcomings,
8 that we do something about it.

9 Within FDA, I think there could be a
10 positive stimulus to getting the kinds of studies and
11 getting more tests available as kits, which do fall
12 under FDA's purview. If we did something or had the
13 congress do something to apply the same kind of
14 criteria that are used or the same regulations that
15 are used for drugs, namely conditional, pre-market
16 approval and apply those to kits, diagnostic kits --
17 in other words, you could encourage
18 development of kits, I would think, if you gave
19 manufacturers the opportunity to market their kits

20 with very, very -- and I emphasize very -- very

21 stringent criteria with time lines involved, for

1 demonstrating not only -- well, they would have to
2 demonstrate analytical validity, but also clinical
3 validity and clinical utility.

4 But until those loopholes are closed, I
5 think we're having a very difficult time seeing the
6 people out there develop the appropriate evidence.

7 DR. TEUTSCH: I'd like to follow up on what
8 Dr. Holtzman just said because the secretary's advisor
9 to human genetics, health, and society had a report a
10 year old now --

11 UNKNOWN MALE VOICE: Speak into the mic.

12 DR. TEUTSCH: -- had a report a year ago on
13 the oversight of laboratory tests, which re-emphasized
14 very clearly what you just said and talked about what
15 needs to be done to deal with that issue in terms of
16 the CLIAA FDA issues, how we -- and it uses basically
17 the same framework, looking at analytic validity,
18 clinical validity, clinical utility. And then talks
19 to the other point about how we're going to get them

20 out there and used well in terms of the translational

21 process, clinical decisions, support systems.

1 So that report's out there. It was done at
2 the request of the last secretary. And hopefully we
3 can get some motion as we get the new administration
4 in. But I commend it to you.

5 DR. SATYA-MURTI: Are we -- bringing in
6 CLIAA requirements, are we suggesting that this is
7 forever going to be only a plasma or serum-based test?
8 Presumably, it could involve tissues in the future and
9 then tissue staining and processing and measurement.
10 So it may not be CLIAA based certified testing only in
11 future. Is that not correct? Maybe for now it is.

12 DR. MANSFIELD: As far as I understand it,
13 CLIAA certifies laboratories and not tests. And the
14 laboratories that are certified are those that run
15 essentially any type of human specimen for many
16 purposes except for breath, for some reason. And I
17 don't think that they regulate labs that do sort of
18 entertainment gender testing. But I don't think that
19 the deal between FDA regulation and CLIAA regulation

20 as CLIAA is regulating a lab, FDA is regulating a

21 test.

1 If a test is developed in a lab and offered
2 in that lab only under CLIAA's purview, they are
3 required to have a process for analytical validation
4 in place. They are not required to demonstrate that
5 the test is analytically valid to any particular
6 degree. And there is no explicit requirement for
7 clinical validation. And in laboratories who develop
8 these tests, sometime the clinical validation is made
9 public, and sometimes it's not. And I think maybe
10 that's what Dr. Holtzman was perhaps referring to.

11 DR. HOLTZMAN: In part. I mean you're
12 absolutely right that they don't approve tests.
13 However, in certifying a laboratory, a key element is
14 there is proficiency testing. And proficiency testing
15 does relate to specific tests. And if a lab cannot
16 demonstrate adequate analytical validity for specific
17 tests, then it is less likely to be certified than if
18 it can't.

19 DR. MANSFIELD: Although, let me add on to

20 this comment. There has certainly been a lot of
21 debate, if you've been paying attention, that genetic

1 testing does not have a specific proficiency testing
2 panel assigned to it. And so the proficiency testing
3 for genetic tests has been arguably not as robust as
4 for other types of tests.

5 DR. KLEIN: So I can speak to CLIAA. First
6 of all, there are two facets to this question. CLIAA
7 does have burdens on clinical validity, but it's
8 through the personnel and through the laboratory
9 directors who are required to, for example, be
10 pathologists or physicians who have laboratory
11 training or PhD. directors who have board
12 certification in certain specialties.

13 The other thing that the Sages Report
14 pointed out was that most laboratories that do genetic
15 testing, in fact, are CAP certified. And CAP does
16 require clinical validity. Moreover, they require
17 proficiency testing for virtually every genetic test
18 that's commonly performed or performed with sufficient
19 degree to set up proficiency testing.

20 Most laboratories would participate in
21 proficiency testing, were it available. So I think

1 that we need to consider the entire paradigm here
2 rather than simply looking at regulations that really
3 don't -- are a bare minimum standard that have nothing
4 to do with clinical practice.

5 DR. HENDERSON: I wanted to raise my hand
6 before that last sentence. I just want to underscore
7 that. I think that the things you are talking about
8 are not going to incentivise people to spend \$40 to
9 \$50 million, \$60 million dollars. And that's actually
10 what the budget -- I've done it, so I know. That's
11 the minimum budget for doing the kind of studies that
12 we're describing.

13 Actually, I'm doing that from -- I'm making
14 those estimates from say a small biotech that was
15 running very efficiently, doing phase three studies in
16 say patients with advanced disease. So, for example,
17 that might involve 700 to 1000 patients. The studies
18 that we're talking about for screening are more likely
19 to require some tens of thousands of patients. So,

20 for example, the prophylactic tamoxifen trial involved

21 14,000 patients, as I recall, and follow up of 10 to

1 20 years, to get the kind of evidence that we are
2 really saying we should have.

3 Well, you're talking about in the hundred to
4 two hundred million dollar plus, at a minimum. And
5 that's still maybe a conservative estimate. There are
6 ways in which those numbers can be brought down a
7 great deal. But right now, our system won't handle
8 that kind of -- even the private sector can't really
9 handle that kind of up front capital, with that much
10 delay in return. Ten to twenty years delay return is
11 just not something that happens in America.

12 DR. DANIS: I think that it's -- the point
13 you just made is that it takes a huge financial
14 investment to establish the evidence we need. But I
15 think that a countervailing bit of financial thinking
16 that we need to bare in mind as we make up our minds
17 about coverage is that if we argue for covering
18 technology that has not been well tested, we're going
19 to be investing millions of public dollars without

20 knowing exactly how beneficial it is.

21 So I don't think that the argument that

1 testing and generating enough evidence to be high
2 quality -- the fact that that's expensive -- should
3 make us nervous about just proceeding. I mean I think
4 the diffusion of technology without evidence is in
5 many ways even more costly.

6 DR. HENDERSON: Okay. So I just want to
7 make clear that we don't have any disagreement on
8 that. In fact, I would argue that you want to do
9 randomized trials in almost every area of both drug
10 development, therapeutic development and diagnostic
11 test development and screening test development
12 earlier, much, much earlier than we do.

13 But I do think we're very unrealistic, to
14 the point of being foolish, in not recognizing that
15 these things cost money. Now, I would go further and
16 say that we waste enormous amount of money when we do
17 these studies. We could do a lot of these studies for
18 much less money. We could integrate them into the
19 health delivery system. And I have to say the last

20 MEDCAC panel I was on, I was very impressed with some

21 of the efforts that CMS is making.

1 I think that to the extent that it's
2 possible to do that, CMS has been doing some very,
3 very forward looking thinking. So I wouldn't
4 criticize them at all. And probably much more so than
5 private insurers have done, where there's been
6 tremendous resistance.

7 But I do believe that if we would integrate
8 some of these things at an early stage, make certain
9 that we -- for example, we were talking about the
10 whole business of getting family histories this
11 morning. The hard fact is that it cost money to get
12 that information. There's no way that we can slide
13 over that.

14 But in actual fact, we continue to dream
15 that we're going to get good family history
16 information that we can utilize without paying anybody
17 for it. It's part of the ticket for doing histories
18 and physicals. It just isn't going to happen, under
19 the pressures that doctors, practicing physicians are

20 under, in order to get through the number of patients

21 per day. They're just going to delete that. They're

1 going to write as was described this morning, patient
2 has a family history of diabetes. And that's your
3 family history.

4 These things all cost money. Oftentimes, in
5 so many places, too much. Some places we're not
6 putting enough in. But I do think we could
7 rationalize that and address that problem.

8 But every time there's a panel that I'm on,
9 whether it's, you know, government panel or non-
10 government panel, we always end it by saying, well,
11 that's somebody else's issue. That's the FDA's issue.
12 That's the insurer's issue. That's the university's
13 issue. That's the NIH's issue. But everybody keeps
14 putting it off. It's not something we really deal
15 with very rationally as a society.

16 DR. GRANT: I just want to get back to some
17 of my original points and stay away from sensitivity
18 and specificity. If you start out with a -- as EGAPP
19 does, and hopefully we do, and everybody -- a

20 decision, analytic framework, to be able to derive

21 some certainty of benefit and weigh it against the

1 harms, and you could include cost if we want to, then
2 there is an extraordinarily rational approach to study
3 design development investment. And you only invest in
4 those studies that inform the elements of the decision
5 making process, where you are going to acquire
6 benefit.

7 And so I think that we tend to oftentimes
8 just sort of talk about, we need more of this. We
9 need more evidence on that. We always need a
10 particular study design, study versus -- and that's
11 not the case. And, in fact, healthcare systems with
12 constrained resources do that, take that approach.
13 NICE does; Ontario does. They don't go out and do,
14 you know, hundred million dollar trials, if the value
15 of that information pales in comparison with the cost.

16 And I think that if we were to approach it
17 in that way -- and I don't know where all these tests
18 would fit in, but they'd fit in somewhere. And we
19 would know. We would know how much it would cost to

20 get the information, to determine clinical utility, to

21 go out and get it. Or decide to adopt a test because

1 the benefits, as we say, of adopting it now will, in
2 fact, we think, for all level of certainty outweigh
3 the potential harms.

4 Or we decide not to adopt a test because we
5 can't get the information. But there is a rational
6 approach. But it fits within the whole piece. You
7 develop a decision analytic framework to which you
8 say, what do we need to know about this decision to be
9 able to draw conclusions about benefits and harms?

10 MS. RICHNER: There's some great and
11 exciting research that's happening now at ISPOR --
12 again, I'm going to mention that -- which is happening
13 next week. There are some great methodologies now
14 that are scalable using techniques such as paging
15 statistics, et cetera, to look at these problems that
16 we're up against.

17 And I think from a manufacturer's
18 perspective, in terms of making sure that we have
19 nimble stones that are using databases, using

20 electronic -- we're on the cusp of all of that right

21 now. And so the worry that we only have, I think, my

1 worry here with CMS is only that we make a decision
2 today that would limit ourselves, given what's
3 happening now with all these new approaches and
4 evidence issues that were -- we just spent, we have a
5 billion bucks now to do a comparative effectiveness.
6 What does that look like? How are we all going to use
7 that in the most meaningful way, to make sure that
8 we're -- these tests are important. They're the
9 cutting edge. We all want them. We just want to make
10 sure we have the right tools to offer that.

11 So I think that's really critical that we
12 just don't limit ourselves to EGAPP and the US
13 Preventative Healthcare Task Force; that we use some
14 of the private sector initiatives that are going on
15 and really seek some more help from the outside on
16 some of this.

17 DR. SATYA-MURTI: Very good. Thank you.
18 Are we ready for the questions and voting? Minefield?
19 Uh, yes. The first three are discussion points. And

20 then there are some voting questions, four and five.

21 Only for the voting questions, try to give a two

1 minute explanation or explication of why you chose to
2 vote this way.

3 The panel members, many of you are familiar
4 with that. I'm not allowed to vote. So we'll start
5 with question one. Are there differences in the
6 desirable characteristics of evidence about screening
7 genetic tests versus those of screening tests in
8 general?

9 I thought our bar should be higher,
10 particularly, we ought to know about the natural
11 history of the disease. And that the test we are
12 doing should not be the basis of establishing that
13 definition of that disease diagnosis.

14 So maybe just go down this way.

15 DR. DANIS: I don't think there are
16 substantial differences in the desirable
17 characteristics of evidence. I think the rationale
18 for the design of scientific tests and study design,
19 et cetera -- I think we use the same kind of

20 reasoning.

21 MS. DAVENPORT-ENNIS: And I think our

1 response to that question is, in a world without
2 prejudice or exclusion, there really should not be any
3 difference, as we look at these.

4 We would also like to note for the record
5 that screening genetic tests do present more
6 difficulty in terms of the time it takes to get the
7 information back. We'd also like for the record to
8 call out that both screening test and screening
9 genetic test can imbue with them stigma and concern in
10 a societal level. And we feel that as we look at this
11 particular question and try to relate it to our task
12 today, it is an area that requires much thoughtful
13 diligence in how we will indeed move this issue
14 forward, on behalf of patients.

15 DR. GRANT: I would say that in general the
16 characteristics are similar. But one aspect that
17 genetics poses that other tests oftentimes do not are
18 the downstream or the potential -- my book calls
19 spill-off effects -- to other unaffected family

20 members. And I think that in the making decisions

21 about benefits and harms, that's not something we

1 typically would consider. In fact, I can't think
2 usually ever consider for a task that's screening,
3 diagnostic, whatever, for an individual patient.

4 DR. HAYES: Actually, I have nothing to add
5 to the previous three speakers who said what I would
6 have said.

7 DR. HENDERSON: I would say that there are
8 no -- in a broad sense, there are no differences in
9 the characteristics of screening genetic tests and
10 screening tests in general. I think a footnote to
11 that may be that -- or two points. One is that I'm
12 talking about what we would consider the ideal
13 characteristics of screening tests, not what we
14 oftentimes have. Oftentimes, the data upon which we
15 have approved things in the past, and we've even used
16 them, is still less than ideal. And so we're talking
17 about what the ideal situation is.

18 And secondly is I'd suspect that cost
19 effectiveness is going to become increasingly more

20 important for a screening test in general and will be

21 very important for genetic tests compared to what

1 we've done in the past. But again, I think that's an
2 evolution for all tests, not just really for screening
3 tests.

4 DR. PUKLIN: I believe that there are no
5 differences in the desirable characteristics of
6 evidence about screening genetic tests versus those of
7 screening tests in general.

8 MS. RICHNER: I agree with him.

9 DR. SCHEUNER: I think the only thing I
10 would want to add -- and I agree with what's been said
11 so far -- is that I'm looking at one of the
12 characteristics being simplicity for screening tests
13 because typically, they are done periodically over a
14 person's lifetime. And I think a difference with
15 genetic tests -- so you would prefer to have a simple
16 test that can be repeated and easily accessed and so
17 forth over a person's lifetime.

18 Whereas with -- we've been talking a lot
19 about inherited genetic traits that we're trying to

20 assess. Really you just need to do it once in the
21 person's lifetime. And so maybe that would be one

1 difference from many of the other tests that are
2 thought about.

3 MS. SCHROEDER: I agree. I think they are
4 both similar. I would have more focus on natural
5 history. We've been hearing from the presentations
6 today. As a newbie to the genetic world, I'm a
7 layman. I have no idea how much importance on the
8 natural history the family history played in this,
9 since my area is orthopedics. And I don't really deal
10 with that so much.

11 DR. SPERTUS: I don't have much more to add
12 except that I think it's important that because these
13 are coming along now, that there are current modes of
14 screening and really defining the incremental
15 advantage over these, over other tests and strategies,
16 and weighing a lot of the downstream ramifications is
17 really important.

18 DR. TEUTSCH: I see them as the same. The
19 only thing I think I'd make clear is that family

20 history is simply another risk characteristic that one

21 would use similar to other types of descriptors in

1 describing the population.

2 DR. WEINER: Of course, by the time you're
3 in this and this, there's not much to add. However,
4 the family history, as you mentioned, we've got to do
5 much better than yes, no. So the risk variables, as
6 one of our earlier speakers said, we must put much
7 more energy into that.

8 And on the outcome side, as also has been
9 mentioned, the positive externalities spill over as
10 economists would say, we're going to need some help
11 from economists and ethicists and maybe secondary
12 payers if the benefits are really on the family and
13 not on Medicare. And that's new territory as far as
14 I've seen.

15 DR. PERFETTO: Ditto. I think the other
16 thing, too, that we've talked about today, is that
17 when we consider this issue of family history, that
18 probably the one thing that this group can do is make
19 a recommendation that in this definition of screening,

20 where is that going to fit because it sounds like

21 we've been trying to wedge it into different places.

1 And we haven't really settled on what's
2 going to happen there in terms of definition. And
3 something definitely needs to be done there. And it's
4 probably not going to be something that's going to be
5 legislated. So I think you're going to have the
6 burden of trying to figure that out.

7 DR. GUTMAN: Yeah. I think the desirable
8 characteristics are the same and both categories are
9 held hostage to this context of use.

10 DR. HOLTZMAN: Well, I would say it depends.
11 It depends on the definition of screening. I mean in
12 most cases, when we look at those of screening tests
13 in general, we're talking about a population defined
14 trait as the group in which we use screening. So
15 that, I mean, maybe women, adult women for mammography
16 testing, it may be men over a certain age for PSA.

17 But it's not based on an individual
18 characteristic, which we sometimes have defined as
19 genetic screening here. So that if we're including in

20 our definition of screening people identified by their

21 family history, that's quite different than genetic

1 screening in general, which is almost always
2 population based. Certainly the other examples that
3 have been given are population based.

4 My feeling is that that's a mistake to
5 include in the definition of screening, people
6 identified by family history. And I think that once
7 you remove them from screening, there will be more
8 possibilities for coverage under Medicare.

9 But if you're talking about population based
10 screening, yes, there are other things that have been
11 mentioned. You only screen once. There are time
12 differences. There is stigma. There are other
13 factors that still distinguish it from many other
14 forms of screening, but not as drastically as if we
15 include family based testing as part of screening.

16 DR. MANSFIELD: Well, as the last person,
17 what can I add? But I would like to pick up a little
18 bit on what Marin said.

19 Since most genetic tests are only run once,

20 even though you can't necessarily say anything about

21 genetic screening test in general. In specific, they

1 need to have quite good analytical performance because
2 you're not going to run them again. You're going to
3 take the answer you get the first time.

4 And we've observed at FDA that, in fact, a
5 lot of genetic tests, not necessarily screening tests,
6 have a poorer analytical performance than you might
7 expect on the surface.

8 DR. SATYA-MURTI: Excellent. Stay with the
9 microphone because we're going to reverse the order so
10 you won't be the last.

11 Question two: What are the desirable
12 characteristics of evidence for determining the
13 analytic validity of screening tests -- screening
14 genetic tests? So again, if we could be as succinct
15 and excellent as we did for this question, that would
16 be great.

17 DR. MANSFIELD: Well, okay. I'll just turn
18 that answer around and say I think in general I think
19 we need to expect quite high analytical sensitivity

20 and specificity. And I won't go through everything I

21 think because I'm sure other people will cover it.

1 But we also need to assure that all of the
2 possible variations that we are testing for have been
3 adequately represented in the analytical validation.
4 We're aware that for certain types of genetic tests,
5 the common variations are very easy to come by, and
6 it's very easy to analytically validate. Less common
7 variations are extremely hard. And I think ideally
8 we'd like to have good validation for all of them.

9 DR. HOLTZMAN: Well, I'll begin by saying
10 that in doing analytic validity testing that the
11 analyte, that which is being used and being
12 circulated, is quite well standardized. Beyond that,
13 I think there are a number of things that have to be
14 done. And one is sharing specimens between
15 laboratories and also within laboratories to show that
16 one is getting consistent results.

17 And probably the gold standard so far as I'm
18 concerned is proficiency testing, blinded proficiency
19 testing, where again one develops a standardized

20 analyte and circulates that among labs. And you're

21 entitled to just a very small, if any, number of

1 errors.

2 DR. GUTMAN: Yeah. I agree with Dr.

3 Mansfield.

4 DR. PERFETTO: I think we all do.

5 DR. WEINER: I have no comments on this one.

6 I'll save them for question three.

7 DR. TEUTSCH: I don't have a whole lot to

8 add, other than EGAPP had laid out a whole set of

9 criteria for analytic validity. And I think that sort

10 of talks about the set of issues that do relate to

11 generalizability panels and doing things in multiple

12 laboratories. So that analytic validity is known on a

13 population basis, not just a specific laboratory.

14 DR. SPERTUS: You know I think if EGAPP

15 recommendations were followed very closely, that would

16 be good. We've had the experience again of very, very

17 good labs getting different answers on the same

18 patient for the same sniff. And a lot of the genetic

19 testings are just regions of the genome. They're not

20 even the specific gene that we're targeting.

21 And so, you know, you're going to end up

1 with population stratification, other challenges, that
2 are going to make it difficult to know exactly that
3 the -- I mean that you can measure the same thing in
4 the same way. And that that's what's really
5 associated with the disease that you're trying to
6 screen for. So I think that there's a lot of almost
7 FDA type attention that has to go into being sure that
8 a test can be done reproducibly, and that it's going
9 to have the same clinical validity in different
10 settings.

11 MS. SCHROEDER: Reducibility was mine.
12 Being able to -- repeatability was my key point there.
13 Repeatability was really my key.

14 DR. SCHEUNER: I have no additional
15 comments.

16 MS. RICHNER: I've thought about this one
17 for a little bit. And I think again I'm going to put
18 in a plug for ISPOR. There are methodologists from
19 around the world that have been working on this for a

20 long time. They are going to be presenting panels on

21 the analytical and validity criteria. There's going

1 to be compendium put together, recommendations from
2 EGAPP, from Blue Cross Blue Shield Tech, from evidence
3 based practice reviews from around the world.

4 They are thinking of new approaches now that
5 I think will be very useful for us. And I think the
6 Cochrane report that you provided for us is hopelessly
7 antiquated. So given that, I think it's really
8 important that we look to the future. And that's my
9 comment for the record.

10 DR. PUKLIN: It is my opinion that the
11 desirable characteristics of evidence for determining
12 the analytical validity of screening tests are as
13 presented to the panel earlier in the morning and are
14 similar to those characteristics that are used for
15 screening tests in general.

16 DR. HENDERSON: I have nothing to add.

17 DR. HAYES: I have nothing to add, except
18 again, concern about pre-analytical issues as well as
19 the actual analytical issues. These are no different

20 than would be concern for any other test. But it's

21 especially true when one is developing the evidence

1 from archived samples that one might then apply
2 prospectively.

3 A number of investigators are starting to
4 look at candidate genes; for example, in cancer, in
5 the cancer tissue, with the assumption that what they
6 get would be the same as from a white cell later. And
7 that may or may not be true. So again, these are
8 important issues that need to be addressed before one
9 agrees that that's really to be supported.

10 DR. GRANT: I have a couple of things to
11 add, actually. One is because we've dealt with
12 genetic testing for some time now, the evidence on
13 analytic validity -- and maybe, I don't know if EGAPP
14 is found differently -- but oftentimes is absence or
15 very difficult to come by or maybe the worst case,
16 questionable.

17 And there are two parts to that. One is --
18 actually, more than two parts. It does not obviate
19 the need to make a decision. So that even though we

20 have lots of desirable characteristics of analytical

21 validity we'd love to see, invariably, we don't see

1 them. We still have to make a decision.

2 And the other two parts about that is I
3 think it's a take home message is that it's how it
4 relates to decision uncertainty as it propagates
5 through from -- whether to assay performance all the
6 way down to clinical utility, that it will in effect
7 alter our decision certainty in terms of how much
8 clinical utility there is from a particular diagnostic
9 -- particular screening test or whatever kind of
10 genetic test you're talking about.

11 At the same time, the point has been made by
12 many people that if you do have good evidence about
13 clinical utility, that you can somewhat realistically
14 say that analytic validity should be there. If, in
15 fact, the test discriminates, and you can show that
16 you can reclassify or whatever. If you want to go
17 along the line and reclassify, you could improve
18 health outcomes. That in general would probably not
19 happen if analytic validity was exceedingly poor.

20 So it's a hard piece of the evidence puzzle

21 for me, for us, and that's just my take on it.

1 MS. DAVENPORT-ENNIS: And I think for us I'd
2 like to pick up with the issue of clinical utility
3 because as we looked at trying to answer the question
4 of desirable characteristics of evidence for
5 determining analytic validity, we came to two
6 conclusions separate and apart from what has already
7 been cited. And that is number one, there does have
8 to be clinical utility that hopefully would be
9 reflected in the twist analysis, which is time without
10 symptoms to treatment.

11 We do feel that as you look at this, the
12 evidence has to be clearly applicable across all
13 populations in this country. And that you have enough
14 breadth of data for each of our special populations in
15 this country for it indeed to be relevant.

16 We also would like to say that in this
17 particular area, we think validity must focus on the
18 fact, if you're trying to apply this to a population
19 that is over the age of 60, then false-positives have

20 to be seriously limited. And what we came to report

21 is, we would recommend there be no false-positives.

1 We would like very little overlap in clinical outcome.

2 And we would like to see value easily interpreted

3 across a population.

4 DR. DANIS: I would second what most people

5 said. I think it's useful in general to require

6 standards that make it not too difficult for those who

7 are generating the data and charging for it, to have

8 some understandable consistent standards. And I

9 generally think that EGAPP standards are a good basis,

10 with a threshold being higher than for what we would

11 do when we're testing with symptoms present.

12 DR. SATYA-MURTI: Very good. I have nothing

13 to add. EGAPP seems -- EGAPP rules. Questions three

14 A and B, perhaps we can take them together.

15 Beyond aspects of analytic validity, are

16 there meaningful differences in the desirable and/or

17 necessary characteristics of evidence about the effect

18 of genomic testing -- genetic testing on outcomes?

19 I believe the differences refers again to

20 screening, where it says diagnostic testing. If the

21 answer is yes, which I presume most of us would go

1 with, we are tasked with answering one, two, and three

2 B.

3 Early detection of a disease in an

4 asymptomatic person, I thought there is no difference,

5 except the asymptomatic person should be at high risk.

6 And we need to know what is a high risk. I'm not sure

7 we can quantify that. But certainly family history

8 would be one.

9 And the second part is early treatment of

10 disease before signs and symptoms are apparent. This

11 is a bit problematic, in that I would like to see RCTs

12 on this because if the treatment is invasive and risky

13 -- someone referred to unnecessary mastectomy,

14 prophylactic. So that would be a problem. And

15 without knowing the natural history, it will be hard

16 to measure what the benefits are.

17 Finally, question three B: What comparative

18 data are needed on alternative strategies for

19 screening? Are there other simpler measurements that

20 will give as much information. For instance,

21 cardiovascular risk is anthropomorphic measurements,

1 waist-hip ratio or ankle-brachial index, are they
2 going to end up giving, particularly Medicare
3 patients, as much information as something that's
4 laboratory based.

5 So if you're going to consider all genetic
6 screening tests, you really need data if pre-existing
7 simpler disease as in Alzheimer's, a good clinical
8 exam, and, perhaps, a basic imaging study would give
9 as much information as what might be derived
10 otherwise.

11 So those were my concerns.

12 Maybe go three A and B this way now.

13 DR. HOLTZMAN: Can we get some clarification
14 because the word "screening" does not appear in
15 question there. But it's testing. We're talking
16 about screening, though. Is that correct?

17 DR. SATYA-MURTI: I think that is what it
18 was meant to be. Jeff?

19 DR. SALIVE: Yes.

20 DR. SATYA-MURTI: They both nod yes. So

21 it's the -- yeah, between screening versus diagnostic.

1 Marion, do you want to go, please?

2 DR. DANIS: I think I would just say the
3 difference I see with genetic screening compared to
4 other kinds of screening tests was raised by someone
5 else on the panel. And that is studying the
6 ramifications, the implications for families. That
7 isn't as much an issue as in other screening tests.

8 MS. DAVENPORT-ENNIS: I think we would
9 basically have a couple of responses. First, our
10 answer to the question is yes. And in terms of early
11 detection of disease in an asymptomatic person, we
12 feel that must correlate with the substantial risk of
13 development of disease and not just to identify risk
14 population.

15 In terms of early treatment of disease
16 before signs or symptoms are apparent, we feel the
17 presence of genes do not always mean they are going to
18 be active. There must be a correlation with activity
19 that suggests a near one hundred percent likelihood of

20 the disease occurring. Otherwise, there is a risk of

21 treating people who would never have developed the

1 disease.

2 As we look at question three, part B, our
3 response here is if this question is referring to
4 alternative methods for screening such as diagnostic
5 procedures involving radiologic mammography and other
6 screening such as colonoscopy, then there would need
7 to be comparative data on survival or complete
8 remission rate for groups of patients identified by
9 genetic tests or an alternative screen.

10 DR. GRANT: I'm going to answer this a
11 little bit more broadly. I think from what I took
12 away from this, rather than maybe being specific, is
13 last time just allude to what I've said before. I
14 think the issue for evidence are not -- I think all
15 these tests, all the diseases are different.

16 I'm not sure we can make -- and the natural
17 histories of these diseases, of every disease, is
18 somewhat different. So I'm not sure I can make
19 blanket statements about those aspects. But I think

20 from where I sit, the issue really is, having the

21 framework and the analytical framework, you know, how

1 the pieces of the puzzle fit together for any
2 particular disease.

3 What are the outcomes? Where in the natural
4 history of the disease are we trying to do the test?
5 And what stage in disease we're trying to detect it?
6 Because that ultimately informs the subsequent steps
7 in whatever our decision that we want to make. And it
8 allows us to put values on the outcomes, the risks and
9 the benefits.

10 And so I would sort of -- I'd look at it in
11 that broad view. And the difference here is in the
12 part. That's also I think somewhat implicit, but in
13 how that all fits together in terms of providing
14 certainty with the decisions that we make.

15 DR. HAYES: I hesitate to say what I'm going
16 to say because I'm new on the panel. But I've read
17 all of these questions now, at least five times. And
18 this one, at least eight times. I counted. And I
19 have no idea exactly what the point of this question

20 is.

21 UNKNOWN FEMALE VOICE: Thank you for saying

1 that. I'm glad.

2 DR. HAYES: Thank you. If what you're
3 asking is should CMS pay for any assay or any test
4 just because it detects disease, at least, in an
5 asymptomatic person or leads to early treatment before
6 signs or symptoms present, the answer is, no.

7 If CMS is asking me should they pay for
8 something because knowledge of that assay is known to
9 lead to a change in management that improves outcomes
10 in a context that we all agree, the answer to that is,
11 yes. But these are two very separate sets of
12 questions. We spent most of today talking about the
13 latter. And I think most of the day we said the
14 answer to this is -- if the question I just asked is
15 true, does knowledge -- should you pay for something
16 just because it allows you to detect something else?
17 The answer is, no.

18 And I think PSA for screening is a great
19 example of that. So hundreds or thousands, if not

20 millions of men, have had PSA testing. And several of

21 those have had their prostate removed and/or received

1 radiation. In the absence of any evidence, there was
2 an improvement in outcome. And we now have pretty
3 good evidence that there probably wasn't. So in my
4 opinion, CMS should not have been paying for PSA
5 screening over the last decade to 15 years. And so
6 that would be true. I'd apply those criteria to any
7 test we have like that.

8 On the other hand, we have decades of
9 randomized trials that have shown that mammography
10 does improve screening. So I would say -- does
11 improve survival. So I would say CMS should pay for
12 mammography. And I would apply those same kinds of
13 criteria to any test.

14 So if that's the question you're asking me,
15 those are my answers. Otherwise, I'm very confused.

16 DR. HENDERSON: I think I was less confused
17 before Dan talked. I would say that the answer
18 probably is yes. But it's very difficult to
19 generalize across the board for all potential genetic

20 tests, one, for early detection of disease in an

21 asymptomatic person. I would say that -- actually,

1 let me make the general point first of all.

2 For one, two, and three B, that in all
3 cases, there should be generation of sufficient data
4 to know what the test does and what its effect is on
5 survival. I think that's necessary in all three
6 situations.

7 But I'm not certain, for example, in number
8 one that there has to be an improvement in survival,
9 from knowing the result of the test. Go back to the
10 early days before we had the kind of data we're
11 describing today. Because deck panels were discussing
12 this, including the Blue Cross Blue Shield deck panel,
13 which I sat on.

14 And we decided that knowing that was
15 important enough to a woman, that to deny her that
16 information, which might affect her lifestyle or
17 affect how she chose to spend her life, was important
18 enough that this should be available, even though at
19 that point, we did not have any data yet on

20 prophylactic mastectomy or ovariectomy in these

21 patients and didn't know whether it would or would not

1 improve survival.

2 So that is an example where I think it was
3 incumbent upon us to get the survival information. I
4 think ideally we would have that before the initial
5 approval process. But not necessarily to determine a
6 priori that in all cases, a test is worth it only if
7 you can demonstrate that this is a way of improving
8 survival.

9 Then on -- on number one also, I believe
10 that there will be much more emphasis -- and this is
11 repeating what I said before. I think on question
12 number one, much more emphasis on long-term cost
13 issues. On the other hand, I don't think that issues
14 of quality of life are probably going to be as
15 important there.

16 While on number two, I do believe that a
17 demonstration that you increase the time that a
18 patient has without signs or symptoms may have clinic
19 utility. The reason I state it that way is I think

20 different patients may have different responses to

21 that information. But that's ultimately part of the

1 patient's value system, not necessarily the
2 physician's or the health care provider.
3 And finally, what comparative data are
4 needed on alternative strategies, again, I think you
5 have to have data on the comparative effect on
6 survival. It may be the same. But you definitely
7 need to have that. And again in that situation, I
8 think, unlike number two, cost again becomes
9 relatively important. And I think we're going to put
10 more emphasis on that in the future than we have in
11 the past.

12 DR. PUKLIN: It is my opinion that there are
13 meaningful differences in the desirable and/or
14 necessary characteristics of evidence about the effect
15 of genetic testing on outcomes, and they will vary.
16 The outcomes will then become part of a patient or
17 family group of outcomes of other diagnostic and
18 screening tests. And so it will be one of many, and
19 there will be variable responses or effects of the

20 outcomes.

21 And these outcomes will not only vary with

1 regard to early detection of disease in asymptomatic
2 individuals, but they will also vary within the group
3 for early treatment of disease before signs and
4 symptoms are apparent. And they will vary among each
5 individual and their family context, in which the data
6 is collected.

7 MS. RICHNER: I'm not going to add much to
8 this discussion. But I'm essentially saying that it's
9 very important to look at it from a multi-factorial
10 perspective; from the social perspective, from the
11 burden of illness, natural history of disease; all the
12 things that everyone has said. I have nothing else to
13 add on this question. I think I was more confused by
14 the question, just to Dan's point earlier.

15 DR. SCHEUNER: Yeah. I was a bit confused
16 by the question. And maybe it's because when I look
17 at early detection of disease in an asymptomatic
18 person, when I think of the examples we've talked
19 about, like BRCA1 and 2 testing, it's really not done

20 for early detection of cancer at that point in time.

21 It's done to stratify risk within a family. Did she

1 inherit the susceptibility or not? And then other
2 screening modalities are instituted, like mammography
3 or breast MRI, to actually detect the breast cancer at
4 an early stage, hopefully.

5 So that's where I was a little bit hung up.

6 And then the same point, number two, early treatment
7 of disease before signs or symptoms are apparent. So
8 I guess we're talking about chemo prevention? Is that
9 -- or prophylactic surgery? Is that what we're
10 talking about?

11 DR. SATYA-MURTI: I think so.

12 DR. SCHEUNER: Okay.

13 DR. SATYA-MURTI: Those two would be
14 examples.

15 DR. SCHEUNER: Okay. And then the last one,
16 in terms of comparative data needed, I would agree
17 with what the original comment about is, is there any
18 incremental value, added value. And I think with
19 again the Mendelian disorders, the only other thing we

20 can look to is the family history. And then we can do

21 genetic testing for those Mendelian disorders to

1 further refine the risk in that family. Again, it's a
2 50/50 for first degree relatives kind of situation.

3 Whereas, with the multi-plex panels for
4 cardiovascular risk, I agree. We want to see if, you
5 know, do they add anything to the Framingham risk
6 score, for example.

7 MS. SCHROEDER: I was a little -- I thought
8 I understood the question before we got here. And
9 then when we were listening to the different
10 presentations today, we started going back in time,
11 talking about early detection in siblings and, you
12 know, it's the parent that's in the Medicare system.
13 So we would be doing tests to check the relatives,
14 younger relatives. So then I got confused again.

15 Are we still talking about the Medicare
16 population when we're looking at these questions? But
17 listening to the panel, I agree that yes is the answer
18 I would go with in specific. But I don't know. I'm
19 still hung up with what the presentations and with the

20 dialogue that we've had and the discussion, whether

21 we're still talking about the Medicare population, in

1 specific. Because we have branched off of that
2 numerous times throughout the day.

3 So if we're staying within that paradigm,
4 and it is specifically the Medicare population, then I
5 agree with things that have been said. But if we're
6 talking the younger population, then I would throw
7 some other questions in there.

8 DR. SPERTUS: I might -- I think all the
9 points have been terrific thus far. I might say that
10 I do think there's going to be a higher threshold of
11 evidence needed for these tests. And I sort of
12 hesitate to say this, but I actually think you are
13 going to need to do some randomized control trials,
14 like Satya proposed.

15 And particularly because you have a real
16 problem with lead time bias. I mean, to use
17 observational data and to make a diagnosis of the
18 disease that much earlier in the course of that
19 disease than it would have presented will make it

20 appear that people live longer with the disease or the

21 cancer or whatever it is that you're screening for

1 than would have been evident had you waited for
2 symptoms to occur. But yet their total duration of
3 survival, especially depending on the toxicity of
4 therapy given for that newly discovered disease, won't
5 really be evident unless you do a more randomized
6 trial with a mortality outcome.

7 And you know, you've spent an awful lot of
8 money on PSAs over the years. And so I think you
9 could dig deep and find the opportunity to get the
10 kind of evidence you need to really understand the
11 value of a screening test. You know, when we talk
12 about outcomes, I also think there is -- and that's
13 particularly problematic if you start expanding to the
14 kids because then you might be diagnosing a high risk
15 for cancer, you know, in a ten year old. And they're
16 going to live for 60 years before it ever would have
17 presented otherwise. And so you're going to have some
18 real sort of sophisticated challenging trials and have
19 to keep very focused on.

20 But I do think you're going to want to

21 extend beyond survival to look at quality of life and

1 health status, particularly anxiety. I think there's
2 going to be a lot of anxiousness and running to the ER
3 for every stomach ache because you're concerned that
4 might be your colon cancer sprouting up. So I'd be
5 very concerned about that.

6 And it's particularly problematic in the
7 early detection of disease in the disease before, you
8 know, it's markedly symptomatic. And then the
9 comparative data would be usual care. And I think
10 you're just really going to want to define incremental
11 value of these new screening tests with the trial.

12 DR. TEUTSCH: I actually don't see a whole
13 lot of difference here between the standard
14 recommendations for screening. Most of the things
15 that we've talked about are really all part of that.
16 And in the end, it all comes down to having good
17 evidence of clinical utility that can be applied in
18 real world situations.

19 And that almost always means that you've got

20 clinical validity and clinical -- or analytic validity

21 that come before them. And so that's where the focus

1 really needs to be and where the work needs to be, in
2 order to get it to inform this process.

3 I think some of the -- there's some work
4 that needs to be done to allow us to stage the
5 evidence in a more rational way so that we can get the
6 information in real time and not get bogged down
7 frequently on questions that may be more complicated.
8 When we have clearer information on other gaps in the
9 evidence that you can identify and streamline the
10 process by saying that there really is insufficient
11 evidence without having to do all of this work.

12 People have already talked about the fact
13 that it should be on an incremental basis. I think
14 that on outcomes tables, it will allow us to look at
15 what is currently, what the current standards are, and
16 how the addition of the genetic tests would change the
17 benefits, and harms are going to be really important
18 to see what those are. And then to look at what the
19 economic value of them is over the longer term, so

20 that one can assess the trade-offs and the value.

21 DR. WEINER: I think the answer is no, there

1 are no major differences, other than the ones that
2 we've talked about. And there are a lot of frameworks
3 that have done a very nice job of laying out how the
4 assessments should be made, EGAPP, Preventive Task
5 Force, NICE, HTA, a variety of different ones. So we
6 should look at those. With them, the little footnote
7 has been discussed, all three or four of the rounds of
8 the differences that my accrue to the genetic
9 information and the legal harm.

10 By the way, the harm is going to be just as
11 important as the benefit. And we can't forget about
12 that because it will probably be more people harmed
13 than helped. So, therefore, the people helped that
14 benefit to be -- that would be fairly significant. I
15 know we're not allowed to talk about money at this
16 table. But one table in the future, they will talk
17 about money, too.

18 DR. PERFETTO: We're going to get there. I
19 interpreted this question the way that Steve and

20 Jonathan did. And so, it's probably end of the table

21 bias because we've been talking about it as it's

1 coming this way. And our interpretation of the
2 question was, is there a difference between genetic
3 screening tests versus others. And I think my answer
4 is the same as theirs is. It's no.

5 I think the one thing that I would add is
6 that maybe for other tests, we haven't been as
7 stringent about sticking to the criteria as we need to
8 be. And so maybe the only difference would be that we
9 have to be more stringent about sticking to all the
10 good evidence criteria.

11 DR. GUTMAN: Yeah. I agree the answer is
12 no, because of the sensitivity of genetic testing.
13 There should be more stringency and characterizing the
14 characteristics and would suggest that the ACCE
15 criteria would be a reasonable starting point.

16 DR. HOLTZMAN: Like several other people on
17 the panel, I'm confused by the question. I think one
18 of the reasons I'm confused by the question is that
19 question is semantically incomplete. Because it says

20 beyond aspects of analytical validity can set it

21 above, are there meaningful differences? Differences

1 from what? So I really hesitate to even answer the
2 question. But I will.

3 In terms of -- and I think Marin made an
4 interesting and important addition to the first
5 bullet; early detection of disease or susceptibility
6 in an asymptomatic person. Here I think it depends
7 again on what I've harped on all day. And that is the
8 definition of screening. Because if you're dealing
9 with starting screening in a population, that one must
10 use very stringent criteria because the prevalence,
11 particularly for genetic conditions for which we can
12 test, is going to be very low. So one has to use
13 large numbers of people in the study and use very
14 rigorous criteria.

15 So far as early treatment of the disease,
16 assuming that you found a susceptibility, as a result
17 of genetic screening, yeah. I think again you have to
18 be extremely rigorous because with the exception of
19 Mendelian disorders -- and, by the way, BRCA1 and

20 HNPCC testing do not satisfy the criteria of Mendelian

21 disorders; that you really have to mount randomized

1 trials or other very rigorous ways of finding out
2 whether you're going to make a difference.
3 And finally for part three B, what
4 comparative data are needed, I think it's again
5 important. And I raised this point before,
6 particularly for the Medicare population, that if you
7 have an alternative test, such as colonoscopy in case
8 of HNPCC, we have to know what incremental benefit or
9 net incremental benefit we're going to get from a
10 genetic screening.

11 DR. MANSFIELD: Okay. I don't know how I
12 read this question, but I'll answer it anyway. So I
13 think that the answers are yes and no. I think a lot
14 of the genetic tests, screening tests, that we've
15 talked about today are for cancer, in which the
16 treatment would be to remove the affected organ,
17 rather than -- or to give a very toxic treatment. So
18 I think that the evidence should be quite high for a
19 screening test validity because you're actually doing

20 a very drastic measure in response to that, when there

21 are no signs or symptoms apparent.

1 And I think it's also very important,
2 especially in the Medicare population to understand
3 the penetrance of the genetic lesion to be able to
4 measure your response to that. If the penetrance is
5 quite low, then it's highly likely the person's gotten
6 that far in life, they're not going to develop the
7 disease. And there's no point in doing anything about
8 it.

9 DR. SATYA-MURTI: Teresa, do you want to
10 give a primer on the voting process?

11 MS. ELLIS: For questions three -- I'm
12 sorry. For questions four and five in voting, if you
13 could please hold up your number so that I can see it
14 and so that the audience can see it, I will record
15 your scores. But also, a few minutes ago, I just
16 passed out a pre-score -- a score sheet for questions
17 four and five. If you could please also make sure you
18 put your name on it, on each one, and record your
19 scores. That way, I'll have two versions. That's it.

20 DR. SATYA-MURTI: All right. Question four:

21 For each type of outcome, how confident are you that

1 methodologically rigorous evidence on the outcome is
2 sufficient to infer whether or not genetic testing,
3 extreme genetic testing, is effective for the
4 prevention or early detection of illness or
5 disability?

6 I think this question assumes that there is
7 good evidence on the outcome. If such exists, then
8 how would you answer A, B, and C, additional
9 confirmatory diagnostic procedure? It's up for voting
10 now.

11 DR. HAYES: May I ask, is the point of this
12 question, which of these endpoints would we use to
13 make decisions? I'll say it again. This question is
14 like, do you ride the bus? Maybe I'm not getting it.
15 But is that the point of the question is which of
16 these three endpoints is the one that we would -- one
17 that we would accept as good outcome?

18 DR. SATYA-MURTI: Well, the way I
19 interpreted that is, let's say you have very solid

20 data showing the outcome improves as a result of a

21 testing. Would you then go ahead and do additional

1 diagnostic testing? And that gives you an analog
2 scale.

3 DR. HAYES: That's not how I read it. I
4 read it as if the test said that it was sufficient
5 that there's evidence that if this test is positive,
6 additional diagnostic procedures would be indicated.
7 Is that enough of an endpoint for me to say, okay, I
8 would pay for that?

9 Or is it only if the test scores that you
10 improve survival by knowledge of the test. That's the
11 only endpoint I would take. Is that the point?

12 DR. SALIVE: So the intervention is the
13 screening test. And the outcome is letter A, B, or C.
14 And --

15 DR. HAYES: And we have really good evidence
16 that A is true.

17 DR. SALIVE: And you have strong evidence
18 for that outcome.

19 DR. HAYES: Is that a good enough outcome

20 versus --

21 DR. SALIVE: What kind of confidence would

1 you have to use the test, to recommend the test?

2 DR. TEUTSCH: So the first one would
3 functionally be because the need for an additional
4 diagnostic procedure would suggest that it's
5 clinically valid, but we don't have any evidence of
6 clinical utility. Is that right? Is that how we read
7 that?

8 DR. SATYA-MURTI: No. Clinical utility is
9 present and has been demonstrated by an approved
10 outcome.

11 DR. SPERTUS: None of this applies to
12 existing tests. Right? We're not -- we're just
13 hypothetically thinking of something in the future.
14 You're not asking us now is there sufficient data
15 about genetic screening tests that we would feel that
16 it's useful for the early detection of disease, more
17 than other tests, improving survival or improving
18 other outcomes?

19 DR. SCHEUNER: But it's asking if it's --

20 it's saying how confident are you that

21 methodologically rigorous evidence on the outcome is

1 sufficient to infer blah, blah, blah.

2 DR. SPERTUS: I mean do you guys want to
3 rewrite the question? And we'll take a quick break.

4 DR. SALIVE: I don't think so. I'll try to
5 explain it one more time.

6 DR. SCHEUNER: I guess I have a question
7 again. You know, are we -- I might say that there's
8 evidence. I think it's a five for one issue and a one
9 for others. So --

10 DR. SALIVE: Well, that's why we've
11 separated it out.

12 DR. SCHEUNER: No, no, no. I'm saying for A
13 --

14 DR. SALIVE: Depending on the test.

15 DR. SCHEUNER: For A, let's say I'm going to
16 give a very high rating for hereditary breast, ovarian
17 cancer, when that test is done in the context of a
18 family history, especially when there's a known
19 mutation in the family. Whereas, I would give a very

20 low score to some multi-plex panel for cardiovascular

21 risk profiling. So it's hard for me to lump all that

1 together and give you a number.

2 DR. HAYES: In other words, if you had a
3 test that said that a 40-year-old woman really ought
4 to have a mammogram, if she's positive for the test.
5 But if she's negative for the test, she shouldn't
6 really have the mammogram. So then A would be a five
7 there. Whereas, if you have a test that shows that a
8 40-year-old woman ought to have lipids drawn, but
9 lipids have no value in treating a 40-year-old woman,
10 would you not -- I mean this question --

11 DR. SCHEUNER: It's very difficult.

12 DR. HAYES: I have to say it makes no sense.

13 DR. SATYA-MURTI: Let's hear the second time
14 explanation.

15 DR. SALIVE: Now, I'll fall right on my
16 sword. Well, no. I think you know, you're right.
17 That is -- I mean this is how we wrote it, and I
18 suppose A is problematic. But we're going to say that
19 again, the intervention is the screening test was

20 done. And you have a study that was well done that

21 shows that it impacts on outcome A. Granted that's

1 not very specific, but an additional test -- it
2 influences the need for an additional test, or it
3 influences survival is B, or it influences other
4 patient outcomes, patient centered outcomes for C.

5 And so the question is --

6 DR. PUKLIN: If the test is accurate and
7 highly reliable --

8 DR. SALIVE: How confident are you that that
9 evidence -- okay. The evidence that it impacts this
10 outcome, how confident are you that that is an
11 effective preventive test or preventive intervention
12 for early detection of this illness in question? It's
13 very hypothetical.

14 DR. PUKLIN: Supposing you have a test that
15 results -- it's a screening test with a high
16 analytical validity. So you've made a diagnosis.
17 Then you're supposed to ask -- answer the question,
18 what will an additional diagnostic procedure add to
19 the procedure. How confident are we that we want to

20 do an additional procedure? I think that's where the

21 confusion arises.

1 DR. SATYA-MURTI: Just to preclude if you
2 want to do any additional testing.

3 DR. PUKLIN: Yes. Well, if it's highly
4 valid, it would preclude us from wanting to do another
5 test. And if it has flaws, we might want to do
6 something. So it might be equivocal. Which way do
7 you go here is the question.

8 DR. PERFETTO: I was here for the February
9 meeting. I think a few of us were here. I was here
10 for the February meeting, and there were a few of us
11 that were here for that meeting. And we had the exact
12 same discussion about this question.

13 And I think in order for there to at least
14 be some consistency between the voting that happened
15 that day and today, if that's at all important, is
16 that the way that Steve described it to us on that day
17 is if all you knew was that one endpoint, how would
18 that affect your decision making? Would you feel
19 confident? Would that be sufficient for you? If all

20 you knew was about that one endpoint. Is that what

21 you're looking for?

1 DR. SALIVE: Yes.

2 DR. HENDERSON: Okay. So you're not --
3 okay. So that was 180 degrees from the way I
4 interpreted it originally. So this is based on all
5 hypothetical. I think you used that word. It's not
6 based on your assessment of what the evidence is right
7 now for any or all tests.

8 DR. SALIVE: Correct.

9 DR. HOLTZMAN: I think because of that
10 assumption, it's an extremely loaded question.
11 Because what we've heard today is essentially that
12 there are no tests out there for which we can be
13 methodologically rigorously sure that the evidence
14 outcome is there. I would suggest -- I mean to get
15 that on the table, that we not only vote for the three
16 choices, A, B, and C, but we vote on the block in
17 front of it.

18 How confident are we that there are
19 methodologically rigorous evidence on the outcome

20 sufficient to infer whether or not screening genetic

21 test is effective for the prevention of early

1 detection of disease? And I would add only in the
2 Medicare population, which is I think what we're
3 charged to do.

4 DR. SATYA-MURTI: Well, I think he's
5 presuming that -- stipulating there is such a test.

6 DR. HOLTZMAN: Well, I just -- but there
7 isn't. I mean that's why it's such a loaded question.

8 DR. SALIVE: Well, you know, I accept that
9 comment. The -- I guess the notion is, say we are
10 faced with this scenario in a few years. Would this
11 type of evidence be convincing to you, that it's an
12 effective preventive intervention? I guess that's the
13 question. And as said before, considering them in
14 isolation, that's the maximum amount of evidence that
15 we have.

16 DR. SCHEUNER: Could I ask a question?

17 DR. SALIVE: But you can't abstain.

18 DR. SCHEUNER: I'm still -- I guess I just
19 want to -- doesn't it in part depend on how much of

20 the condition or disease is attributed to that test

21 result? I mean, again, if it's a risk factor of small

1 effect, then it's going to get a low mark because you
2 have to do other things in order to further understand
3 that individual's risk for disease and what steps to
4 take. But if it accounts for the majority of the risk
5 in that given individual, this is where I'm struggling
6 a bit. Because again it's the --

7 DR. SALIVE: So are you talking about all
8 three questions -- all three parts or just part A?

9 DR. SCHEUNER: No. I guess I'm talking
10 about -- it's going to be very hard for me to think
11 about multi-factorial genetic testing versus --

12 DR. SALIVE: Because Part B says you have
13 evidence that shows a link --

14 DR. SCHEUNER: Survival.

15 DR. SALIVE: -- between that testing,
16 intervention and survival.

17 DR. SCHEUNER: Okay. Right. So part B --

18 DR. SALIVE: And part C is --

19 DR. SCHEUNER: -- might be easier for me. I

20 guess part A is more difficult for me because I would

21 say again with my example of, let's say, Lynch

1 Syndrome now. And I have an MSH2 mutation that was
2 found in the sister of my patient. And we know it's
3 deleterious, and we know it's associated with high
4 risk for cancer. And now I test her asymptomatic
5 sister for that specific mutation. Really, in terms
6 of defining her risk and the decisions I'm going to
7 make around screening, that will be the fundamental
8 test I'm going to do.

9 But I wouldn't say that's the case for a
10 genetic screening test of multiple markers that
11 explain a portion of risk for, let's say,
12 cardiovascular disease or asthma or whatever. To me,
13 they're so different.

14 DR. SATYA-MURTI: Well, you know --

15 DR. SALIVE: So could we not do A then? I
16 think -- I mean we're fine with not doing A.

17 DR. SCHEUNER: Am I beating you down? I'm
18 sorry.

19 DR. SALIVE: No, no, no. I think that

20 you've pointed out valid problems, I question. We're

21 not going to make you vote on one that has the wrong

1 -- it needs more nuance. It needs to be split. We
2 can't split it at this time.

3 DR. SPERTUS: So we're voting on how
4 confident we are. If there was a test strongly
5 associated with survival, a screening test strongly
6 associated with survival, what is the confidence? The
7 confidence that we believe that data exists already or
8 the confidence that if there was such a test, we would
9 use it a lot in our practice? So if there's a genetic
10 screening test that's strongly associated with
11 mortality, and there's something I can do about that
12 mortality, then would I use it?

13 DR. SALIVE: If you're confident that that
14 type of evidence demonstrates effectiveness. That's
15 the question. Does that type of evidence demonstrate
16 effectiveness of a screening program?

17 DR. SATYA-MURTI: I also interpreted that as
18 would I then be using (unintelligible) recommend that.
19 Survival has shown -- survival benefit has shown --

20 DR. SALIVE: Well, we're asking about

21 effectiveness of a screening program. So, you know,

1 that may be one of your ways of weighing this.

2 DR. SPERTUS: Wait. So this is a test with
3 perfect accuracy. Right? There's no false-positives,
4 no false-negatives. And that's what we're debating
5 here, because he's talking about harm. And I'm
6 thinking like there's no harm. It's a perfect test.
7 So what exactly is the test?

8 DR. SALIVE: No. We're saying that a
9 rigorous study was done with evidence on survival
10 that's favorable.

11 DR. TEUTSCH: But you don't know about
12 harms, necessarily.

13 DR. GUTMAN: And you don't know about
14 quality of life. All you know is that they live
15 longer. Some people live longer.

16 DR. SALIVE: Well, on average they live
17 longer.

18 DR. HOLTZMAN: You've gotten -- I guess
19 you're willing to get rid of A. I suggest you also

20 get rid of B. I mean, you say effective for the

21 prevention. Now, it's effective for the prevention,

1 that means it's having an effect on survival. So why
2 bother voting on survival?

3 DR. SALIVE: What? Are you afraid to vote
4 on that?

5 DR. HOLTZMAN: I'm not afraid to vote, but
6 you're assumption assumes better survival.

7 DR. SATYA-MURTI: Well, there is number
8 three. You can -- you can vote number three on those.

9 DR. HOLTZMAN: Well, we'll get to number
10 three in a minute.

11 DR. PERFETTO: He'll knock that one out,
12 too.

13 DR. SATYA-MURTI: Well, question four B is
14 on the table now.

15 DR. MANSFIELD: Can I say how I interpreted
16 this, which is maybe a little bit different than I've
17 heard, is that if there's good evidence that the
18 genetic screening test can be used, but requires
19 additional diagnostic procedure, should Medicare pay

20 for it? If there's good evidence that the genetic

21 screening extends survival, should Medicare pay for

1 it? If there's good evidence that the genetic
2 screening affects other patient blah, blah, blah,
3 should Medicare pay for it?

4 Is that what you're asking?

5 DR. SATYA-MURTI: That's how I interpreted
6 that.

7 DR. SALIVE: No. We're not asking that. We
8 don't ever ask that question. It may be evidence we
9 use for that decision.

10 DR. SATYA-MURTI: All right. Let's vote on
11 four B. Be that as it may, with all the confusion in
12 mind, we'll get some metrics down here. If you're not
13 sure, question is unclear -- I don't get to vote, but
14 if the question is unclear, I will take number three.

15 DR. SPERTUS: Can you read the question to
16 us?

17 DR. SATYA-MURTI: That's not meant to be --
18 that's not meant to influence anyone.

19 DR. SPERTUS: So can you read the question

20 to us? I mean just read the exact frame of your

21 question you want us to answer?

1 DR. SATYA-MURTI: I didn't hear that.

2 DR. SPERTUS: Can you read the exact framing
3 in question that you want us to answer? We've heard
4 half a dozen interpretations. Just read to us exactly
5 what you want us to address.

6 DR. SATYA-MURTI: For each type of outcome,
7 how confident are you that methodologically rigorous
8 evidence on the outcome is sufficient to infer whether
9 or not screening genetic test is effective for the
10 prevention or early detection of illness or
11 disability?

12 The voting point here is B, survival.

13 (Whereupon, the panel indicates their
14 individual vote.)

15 DR. SCHEUNER: And we're lumping all
16 screening genetic tests together, and we're averaging
17 this out?

18 UNKNOWN SPEAKER: So the question is, can we
19 reliably tell whether people are alive or dead.

20 UNKNOWN SPEAKER: Yes, but not for this --

21 DR. SATYA-MURTI: They live longer.

1 UNKNOWN SPEAKER: They live longer, but you
2 don't know what the quality of that life is.

3 UNKNOWN SPEAKER: Any test?

4 UNKNOWN SPEAKER: This is any test. Right?

5 Any future tests for any disease.

6 UNKNOWN SPEAKER: Or any tests that we've
7 talked about today.

8 UNKNOWN SPEAKER: There's no test that
9 exists now that I would rate a four for.

10 UNKNOWN SPEAKER: What about HMPCC?

11 UNKNOWN SPEAKER: I would not rate a four
12 for that.

13 MS. ELLIS: I'm finished. Please make sure
14 you record your scores on your papers also.

15 UNKNOWN SPEAKER: This is not for tests
16 available today. Right? This is all hypothetical.
17 Can I just clarify that? Thank you.

18 DR. SATYA-MURTI: It exists out there.

19 UNKNOWN SPEAKER: What?

20 DR. SATYA-MURTI: It exists somewhere out

21 there, but we haven't found it yet.

1 DR. SATYA-MURTI: And C, if you're ready?

2 Other patient-focused health outcomes, for example,

3 functional status and incidence of adverse events.

4 We're voting on C now.

5 (Whereupon, the panel indicates their

6 individual vote.)

7 MS. ELLIS: Thank you.

8 DR. SATYA-MURTI: All right. Record the

9 paper scores. I'm afraid of going to five, but it may

10 be easier, actually. One of the desirable measures of

11 the cost-effectiveness of screening genetic tests for

12 the prevention or early detection of illness or

13 disability. Consider ranking one through three, one

14 being the lowest, three being the highest, for each of

15 the following questions or identify other measures

16 that would be appropriate. A, quality adjusted life

17 years gained due to screening.

18 (Whereupon, the panel indicates their

19 individual votes.)

20 UNKNOWN SPEAKER: Hold on. Can they all be

21 threes or all be ones, or we have to rank them?

1 UNKNOWN SPEAKER: They're not being ranked.

2 It's the same as the last question. We're going to go

3 through all of them.

4 UNKNOWN SPEAKER: They're all separate?

5 UNKNOWN SPEAKER: Yes.

6 UNKNOWN SPEAKER: So we're doing A. Is that

7 correct?

8 DR. SATYA-MURTI: Yes.

9 UNKNOWN SPEAKER: We need all of them to get

10 to the quality.

11 UNKNOWN SPEAKER: We're talking about in the

12 Medicare population. Is that correct? We're limited

13 to the Medicare, over 65 and over?

14 UNKNOWN SPEAKER: We want the incremental

15 cost-effectiveness ratio.

16 UNKNOWN SPEAKER: So it's essentially our

17 qualities good outcome measure for --

18 DR. SATYA-MURTI: Yes. Could quality be

19 used for cost-effectiveness?

20 MS. ELLIS: Could you please hold up your

21 numbers?

1 UNKNOWN SPEAKER: So this is five A?

2 DR. SATYA-MURTI: Yes.

3 MS. ELLIS: Thank you.

4 UNKNOWN SPEAKER: Can I change my vote? I'm
5 sorry.

6 MS. ELLIS: Who's changing their vote?

7 DR. SATYA-MURTI: B, decreases in incidences
8 of illness or disability or net gains in other patient
9 health care outcomes. How do you -- you want to use
10 this and rank it for question five, cost-
11 effectiveness?

12 (Whereupon, the panel indicates their
13 individual vote.)

14 MS. ELLIS: Thank you.

15 DR. SATYA-MURTI: And C, net changes in
16 lifetime costs of illness or disability. Would that
17 be a measure for question five A, cost-effectiveness?

18 UNKNOWN SPEAKER: So you're just looking at
19 costs of care as the outcome?

20 DR. SATYA-MURTI: Yes.

21 UNKNOWN SPEAKER: And I would assume that if

1 you were -- the costs were very high -- so you're

2 looking at just the total amount of costs.

3 UNKNOWN SPEAKER: Right. So somebody -- so

4 you have a test that leads to instantaneous death.

5 Then nobody costs -- anything going forward, there's

6 no -- I mean, that independent of, you know, length of

7 survival or quality of life is a really hard thing, I

8 think, to -- I mean -- at least that's how I'm

9 interpreting it. Am I interpreting it wrong?

10 UNKNOWN SPEAKER: No.

11 UNKNOWN SPEAKER: Is this net savings?

12 UNKNOWN SPEAKER: Yes, but looking at just

13 changes in cost --

14 UNKNOWN SPEAKER: Change of cost -- outcome

15 -- so --

16 DR. SATYA-MURTI: Well, without the test,

17 how much would that have cost for the person over, say

18 a ten year -- and with the test, is that going to

19 save, for instance -- is colectomy going to save

20 additional chemo expenses, visits, and colostomy care?

21 UNKNOWN SPEAKER: What if it incurs --

1 UNKNOWN SPEAKERS: (Inaudible.)

2 UNKNOWN SPEAKER: But that's not a measure
3 of cost-effectiveness, what he just described.

4 UNKNOWN SPEAKER: But that's not the
5 question. That's part of the analytical approach to
6 determining the overall costs and illness and
7 disability. So all of these factors that you're
8 saying, whether the test is more sensitive or specific
9 or will it measure any of these things, that's all
10 considered in these metrics.

11 UNKNOWN SPEAKER: But is this question
12 saying that the measure of cost-effectiveness would
13 only be the net changes in cost, or are those changes
14 in relationship to a relevant outcome? If it's only
15 the cost, then it's not a cost-effectiveness measure.
16 If it's that cost in relationship to some relevant
17 outcome, then it's a cost-effectiveness --

18 UNKNOWN SPEAKER: Yes --

19 UNKNOWN SPEAKER: Exactly. But at least

20 they were outcomes.

21 UNKNOWN SPEAKER: I think you're reading too

1 much into it. It really is -- in this case, I think
2 it's another technique to capture the overall benefit
3 or harm, and it will be incorporated within that
4 construct. That's my --

5 UNKNOWN SPEAKER: Because that was A and B
6 that -- we already did A and B. This is just cost.
7 Net cost. You can't have it stand alone is the issue.
8 C cannot be just one specific measure that you
9 determine whether or not you're going to pay for this
10 test or cover this screening test.

11 UNKNOWN SPEAKER: That cost alone --

12 UNKNOWN SPEAKER: Cost alone, yes.

13 UNKNOWN SPEAKER: -- would determine whether
14 or not it's offered or done.

15 UNKNOWN SPEAKER: If you want to interpret
16 the question like that.

17 UNKNOWN SPEAKER: It's the same thing of A
18 and B. A and B are just one aspect of something that
19 goes into a cost-effectiveness analysis.

20 UNKNOWN SPEAKER: That's right. I mean,

21 it's all part of it.

1 UNKNOWN SPEAKER: All three, A, B and C have
2 to be incorporated into --

3 UNKNOWN SPEAKER: (Inaudible.)

4 UNKNOWN SPEAKER: Right, but that's
5 calculated. But it's calculated in --

6 UNKNOWN SPEAKERS: (Inaudible.)

7 UNKNOWN SPEAKER: Yes. I think you'll be
8 able to -- I think you'll be able to calculate that.

9 DR. SATYA-MURTI: Are we ready to give a
10 number for Maria?

11 UNKNOWN SPEAKER: I don't interpret it that
12 way. I don't interpret the question that way.

13 (Whereupon, the panel indicates their
14 individual vote.)

15 MS. ELLIS: Dr. Richner, are you voting?

16 DR. RICHNER: I'm going to vote.
17 (Indicating.)

18 MS. ELLIS: Thank you. Please don't forget
19 to record your scores on your papers, and I'll come by

20 and pick them up now.

21 DR. SATYA-MURTI: We're down to two or three

1 discussion items. Question six, what are the
2 desirable methodologic characteristics of studies of
3 cost-effectiveness for screening genetic tests for the
4 prevention or early detection of illness or
5 disability? I think we have answered some of that on
6 five, but in view of the need for discussion, may we
7 have some discussion?

8 UNKNOWN SPEAKER: Why are these so special?

9 UNKNOWN SPEAKERS: (Inaudible.)

10 DR. SATYA-MURTI: Does anyone have any
11 particular yen to naming some items for six?

12 DR. GRANT: I think it's been alluded to
13 before for the purposes of -- if it's done in the
14 context of a model, obviously one has to have age-
15 correct parameter estimates and the accompanying
16 degree of uncertainty with them. I think it's been
17 pointed out here many times, that a lot of the
18 evidence related to these tests has not been obtained
19 in this relevant population and, accordingly, I think

20 that needs to be reflected in any modeling or cost-

21 effective exercise.

1 You know, there are lots of methodological
2 standards for cost-effectiveness studies and doesn't
3 really apply here.

4 DR. SATYA-MURTI: You say cost-effectiveness
5 is a process that comes necessarily after determining
6 clinical validity and usefulness.

7 UNKNOWN SPEAKER: Of course. It has no
8 value. It can't be cost-effective --

9 DR. SATYA-MURTI: (Inaudible) proximal to
10 that, where we haven't decided. Many of them -- all
11 right.

12 Question seven discussion?

13 DR. MANSFIELD: Can we still talk about six?
14 I was going to say, I don't know if it's a
15 methodological characteristic, but given that health
16 care costs vary across the country, we'd need to have
17 very good geographical representation to be accurate.

18 DR. HOLTZMAN: I think that's a great point.
19 I think the things that were mentioned in the previous

20 question are important. But I'd emphasize the word

21 net in five B. I mean, we need to look at adverse

1 events or harm that results from screening in
2 developing cost-effective --

3 DR. PERFETTO: I would also add that there
4 are standards out there for cost-effectiveness
5 studies. And that methodologically, these studies
6 should meet those standards.

7 DR. TEUTSCH: I think a critical issue for
8 Medicare is particularly the perspective. I think the
9 recommendations from the panel on cost-effectiveness
10 in health and medicine are probably the ones that we
11 generally should be following. But they recommend
12 taking a societal perspective, and I think that should
13 be done. But one can make the case that for a payer,
14 you should probably also look at payer's perspective,
15 which is fine. But I do think it would also have to
16 be done in the context of a societal perspective.

17 MS. DAVENPORT-ENNIS: And I think from the
18 societal perspective, we feel that if you're looking
19 at cost-effectiveness, you really want to ask two

20 fundamental questions; do they accelerate the time of

21 the diagnosis and also assist in leading to the use of

1 correct therapeutic interventions, which is certainly
2 one of the hopes that would imbue cost-effectiveness.

3 DR. SATYA-MURTI: Well, talking about
4 accelerating diagnosis, it might fit in with question
5 three as well. Are there ethical issues particular to
6 screening genetic testing that may alter the
7 methodological rigor of studies of genetic testing?

8 When you say accelerate in a beneficial way
9 or just produce insomnia years ahead?

10 MS. DAVENPORT-ENNIS: Hopefully in a very
11 positive way so that the patient is going to get the
12 answers quicker. And when the patient gets the answer
13 quicker, there will be less cost impact to the system.
14 And when they get those answers, they are correct
15 answers.

16 DR. SATYA-MURTI: Yes. That is one ethical
17 issue.

18 DR. HAYES: There are people on this panel
19 who know a lot more about it than I do. But there are

20 enormous guidelines and governing bodies that have

21 spent lots of time about the ethics of germline

1 genetic testing as opposed to somatic changes. And
2 again, this question to me was sort of, do you ride
3 the bus or take your lunch to school.

4 There are enormous ethical issues to
5 germline genetic testing that don't pertain to somatic
6 testing. So, for example, doing mammograms is
7 unlikely to lead you to be discriminated against in
8 your job and that sort of thing, whereas, having a
9 BRCA1 is likely to. I don't think that alters the
10 methodologic rigor of the studies and all the end
11 points and things we just talked about.

12 But it certainly alters the kinds of issues
13 about confidentiality and downstream effects on family
14 members and that sort of thing that are affected. So
15 again, I'll say this question has important components
16 within it. But it doesn't ask the question I'd have
17 asked, you know, which is that there are two separate
18 issues here.

19 Is the methodologic rigor of genetic testing

20 important? And the answer is yes, just like it would

21 be for any medical intervention. And are there

1 ethical issues unique to genetic testing? And the
2 answer to that, I think, is yes, relative to other
3 kinds of screening and testing.

4 DR. DANIS: I would sort of echo what was
5 just said. I think methodologic rigor is important in
6 these tests. And it's important that accuracy be as
7 important, if not more. As we said before, if you're
8 likely to be doing these tests once -- so I don't
9 think any ethical concerns reduce the obligation
10 regarding rigor, methodologic rigor.

11 DR. MANSFIELD: So I don't think this is
12 unique to genetic tests, but testing in which the
13 sample required in order to perform the testing is
14 actually invasive or harmful in itself may alter the
15 way that you run your study and who you enroll and so
16 on. If it's a brain tumor that you -- you know, you'd
17 have to do a brain biopsy of, you may want to do fewer
18 of those if it were likely to have the side effect of
19 making someone paralyzed or something like that.

20 DR. SATYA-MURTI: I was thinking some of us

21 would be talking about false positives and the ethical

1 consequences of that, or is that implied in what you
2 have said?

3 DR. WEINER: I don't know quite where to
4 place it, except here one day, there won't be any gene
5 testing. All of our genes will be online. You know,
6 it will be gene-chipped, and it will be in the EMR.
7 And the health system will, retrospectively, go back.
8 And once we learn more about the genes, we'll be able
9 to analyze it. And hopefully, we all have access for
10 research, but God help us for, you know, individual
11 implications. And I may be off by a little, but not
12 by much, I don't think.

13 And what that means for us today, I don't
14 know. But over time, it's going to happen, I think.
15 And they've been trying to do that in Iceland, but
16 they ran out of money so --

17 DR. HOLTZMAN: Well, there are two
18 questions. It's very hard to know whether this really
19 fits under methodologic rigor. Two points; one is if

20 you're using archived specimens with identifiers, a

21 consideration has to be given for what you're going to

1 do in terms of notification or whether you should even
2 use those specimens without a (inaudible) and informed
3 consent.

4 DR. WEINER: That relates to what I said.

5 Yes.

6 DR. HOLTZMAN: The second is, when you are
7 developing genetic tests, you have to be prepared to
8 know what you're going to do if you have a positive
9 result of an identified proband, let's say, in terms
10 of informing relatives, particularly if you're dealing
11 with Mendelian disease. So those have to be
12 considered in the planning of the study.

13 DR. SATYA-MURTI: Were all the
14 methodological requirements higher than for what you
15 said and also for the false positive and the fear
16 factor as well as commercialization? So, therefore,
17 unlike, say, doing a body scan, body CT or spinal CT,
18 this would be even more vital, to make sure that the
19 methodology is even more sound than doing an MRI scan

20 and showing that you have a bulging disc. So I

21 thought the bar would be higher for this.

1 DR. HENDERSON: I do think another potential
2 compromise of the methodologic rigor is, the fact that
3 you're going through the proband in each case. And
4 they do have some control over whether there's access
5 or no access to the relatives. So that adds an
6 additional dimension and potential bias that has to be
7 taken into consideration.

8 DR. SATYA-MURTI: If they're willing to
9 discuss their results with the relatives?

10 DR. HENDERSON: Right. In other words, you
11 can't -- let's say you're seeing a patient that you
12 now want to study, and you demonstrate, for example,
13 that they have genetic markers. You say, I want to
14 study the various relatives. You can't really
15 approach any of those people without the patient's
16 permission. It's fundamental to the ethics of
17 medicine.

18 DR. SATYA-MURTI: This is true.

19 DR. HENDERSON: And, therefore, the patient

20 becomes a gatekeeper, if you will, to access that --

21 the patient may decide that they're only going to

1 provide information or access to some of their
2 relatives and not to others or to no relatives. So
3 that becomes -- we do have that in other studies as
4 well. For example, physicians are gatekeepers and
5 introduce biases regularly into studies. But now they
6 will still be there and still introducing those
7 biases. We now have the biases of the patients.

8 (Inaudible.)

9 DR. PUKLIN: I think that the HIPPA
10 guidelines don't apply to diseases of this sort when
11 there are other family members that are involved, and
12 the information can be transmitted freely between
13 family members by the physician without the consent of
14 other family members.

15 DR. HENDERSON: So you think obviously if
16 Mrs. Jones and I decide that I want to contact his
17 daughter and do a study, I can just pick up the phone
18 and call her daughter and say, we'd like to have you
19 come in and do a genetic study without having told

20 Mrs. Jones in advance I'm going to do that?

21 DR. PUKLIN: If there's reason to believe

1 that the disease is widespread in the family, you
2 don't have to go through all the paperwork to get
3 permission from everyone to contact everyone.

4 DR. HENDERSON: I don't think this is a
5 HIPPA issue. I think there's a fundamental ethical
6 issue of medicine.

7 DR. SCHEUNER: (Inaudible) picking up the
8 phone and --

9 DR. HENDERSON: No. You need -- the patient
10 should consent, but you don't have to go through
11 paperwork, documentation. You can divulge the
12 information among the family members who are involved.

13 I agree. That wasn't the issue. No. No. I agree.
14 That wasn't the issue.

15 The issue was, you still would have to have
16 the patient's permission to call her daughter or call
17 her mother or other people. You wouldn't start
18 calling those people in to do studies without the
19 permission of that patient. That patient becomes an

20 important gatekeeper.

21 And then I'm saying further you'd have to

1 have one for each one. You wouldn't -- she -- you
2 wouldn't say, well, I can't call your relatives, and
3 then you choose, for example, to call them. You would
4 go through -- I think any physician that I know, would
5 make certain that they had the permission independent
6 of any legal HIPPA constraints or any paperwork.
7 Leaving all those things aside, that's the way
8 medicine is practiced.

9 DR. SCHEUNER: So this is this duty, to warn
10 issue, an ethical issue for physicians. And there are
11 two legal precedents, I guess. One was with medullary
12 thyroid cancer in the state of New Jersey, I believe,
13 where the court said that it was the physician's duty
14 actually to inform an at-risk relative, as if it was
15 an STD or something. That there was a public health
16 issue, and that their duty was to inform that at-risk
17 relative.

18 Whereas, there was another case -- I think
19 it was Florida -- or I'm getting the dates mixed up --

20 where it was familial adenomatous polyposis. And it

21 was sufficient for the physician to inform the

1 patient, that this genetic information had
2 implications for other family members and to leave it
3 at that. It was something -- I'm probably getting the
4 cases mixed up.

5 But it's a question of, is it sufficient to
6 just tell the patient in front of you that we've
7 identified this genetic result, and it has
8 implications for other family members? Is that
9 sufficient in terms of duty to warn? Or are you
10 obliged to actually go and seek out those at-risk
11 family members?

12 DR. PUKLIN: Let me ask you another thing.
13 So there were a number of genetic studies that have
14 been done already, where in the consent form the
15 patient was told, you know, we would like to have you
16 donate genetic material. There are no current genetic
17 tests for cardiovascular disease. We are going to, in
18 the future, use your blood for that genetic test, but
19 we promise you we will never contact you to discuss

20 the results or do anything.

21 And then you discover a mutation that's very

1 adverse for the patient. I mean, I think it's a huge
2 ethical issue. I don't know what the answer to that
3 is. But, you know, it's a real challenge because
4 you've promised the patient in the consent form you're
5 not going to call them. And it was on that promise
6 that they donated their genetic material to advance
7 science and to help figure out other causes and
8 associations. And then, you know, knowledge is
9 advanced, you discover something, and what do you do
10 now?

11 DR. HENDERSON: Well, I'm the chairman of
12 the IRB at my university. You can construct these
13 consent forms any way you want. And so if you think
14 that you're doing the patient a favor, you can tell
15 him you're going to -- you'd like to store their
16 blood, have it put in a repository for known testing.
17 And then you can tell them that you will notify them
18 of the results of the blood tests when they come back,
19 if they would like to have it.

20 Or you may construct it and ask them to give

21 you permission to draw the blood for some known tests

1 that are going to be done on it and ask them if they
2 would be comfortable without being notified for it.
3 So you can construct this any way you like.

4 And the new issue that's come up is to bank
5 the tissue in either a university repository or a
6 commercial repository for research at a later time,
7 looking for markers that are yet undetermined in all
8 these diseases. And in those cases, the patients are
9 separated completely from all the identifying features
10 that would identify them, and the specimen is in a
11 repository only with the patient's history, but no
12 identifiable characteristics. So there's no way the
13 patient would be identifiable. Only their medical
14 history would be. You have the prerogative to set the
15 study up the way you want.

16 DR. SATYA-MURTI: I have to intervene and
17 give the unpleasant news that we have a shuttle
18 leaving at 4:00 to the airport. I apologize for
19 truncating this. Is that correct?

20 MS. ELLIS: Yes. For the panel members who

21 were picked up at the Westin, the shuttle that picked

1 you up will be taking you back to BWI airport, and
2 it's been sitting outside. So it's only given us
3 until 4:00 o'clock. Four o'clock, he'll be pulling
4 off. And then I have to try to find cabs.

5 DR. SATYA-MURTI: So that raises the issue,
6 question eight. Does the age of Medicare beneficiary
7 population present particular challenges that may
8 compromise the generation and/or interpretation of
9 evidence regarding genetic testing?

10 DR. SPERTUS: Yes, a lot. They were brought
11 up really well. It's the incremental difference in a
12 population that survived for 65 years or longer. And
13 even more so at 80 years and 85 years. And so there's
14 a lot of unique issues about the Medicare population.
15 There's a real paucity of data. And so those are
16 really going to be very important considerations, in
17 trying to weigh what might be a reasonable test to
18 cover for, you know, all populations specifically in
19 Medicare because they, you know, have a survival

20 advantage.

21 So even if they have a mutation, they may

1 have a much better prognosis with that mutation
2 because of other factors than other patients in whom
3 that mutation was associated with the adverse events.

4 MS. RICHNER: But conversely, we also have a
5 lack of information in the Medicare population that
6 was expressed earlier on, too, that we have very few
7 studies that are actually done in the over-65
8 population. And I think that's an important point
9 here, that we want to make sure that we embrace the
10 idea of looking at all populations and then make
11 inference to the Medicare population.

12 When there's lack of evidence or lack of
13 information in the over-65, I think it's really
14 critical, especially given health reform and universal
15 coverage is on the table. And it's very likely that
16 we're going to be covering all the uninsured in the
17 U.S., and Medicare's going to be doing it.

18 So, I mean, I think we need to look to the
19 future and really think about, you know, expanding how

20 we examine data beyond just 65 and over for this

21 particular topic.

1 DR. HENDERSON: So I just want to
2 consolidate. The last two points made was one that
3 you'd been making earlier in the day. So essentially,
4 you have to consider the fact that, for this older
5 population, there may be a difference in the biology
6 of the disease. Secondly, we know there's a
7 difference in the biology of the host, which is a
8 point that you made several times today. And thirdly,
9 we know that we have very little data. So somehow or
10 another you're going to have to figure out how to get
11 more data, in order to address both these issues, the
12 biology of the host and biology of the disease.

13 MS. DAVENPORT-ENNIS: And I would like to
14 add two additional points. Not only those three
15 points, but also we also know that there's a
16 likelihood of co-morbid conditions --

17 DR. SATYA-MURTI: And polypharmacy.

18 MS. DAVENPORT-ENNIS: And polypharmacy that
19 will impact the result of what we get.

20 DR. HAYES: And co-mortal. The patient has

21 less time to benefit (inaudible). Most screening

1 techniques take eight to -- cancer, at least, eight to
2 ten years to see a survival benefit. An 85 year old
3 probably isn't going to have much chance to benefit
4

5