

00001

1  
2  
3  
4  
5  
6  
7  
8

9 CENTERS FOR MEDICARE AND MEDICAID SERVICES

10 Medicare Evidence Development & Coverage

11 Advisory Committee

12  
13  
14  
15  
16  
17

18 October 21, 2009

19  
20 Centers for Medicare and Medicaid Services  
21 7500 Security Boulevard  
22 Baltimore, Maryland

23  
24  
25

00002

1 Panelists

2

3 Chairperson

4 Clifford Goodman, Ph.D.

5

6 Vice-Chair

7 Saty Satya-Murti, M.D., F.A.A.N.

8

9 Voting Members

10 Virginia C. Calega, M.D., M.B.A.

11 Mark D. Carlson, M.D., M.A.

12 Gregory J. Dehmer, M.D.

13 Mercedes K.C. Dullum, M.D.

14 William H. Maisel, M.D., M.P.H.

15 Mauro Moscucci, M.D.

16 Craig Umscheid, M.D., M.S.C.E.

17

18 Patient Advocate

19 Phyllis Atkinson, R.N., M.S., GNP-BC

20

21 Industry Representative

22 Neal Thomas, Ph.D.

23

24

25

00003

- 1 Guest Panel Members
- 2 Stephen C. Hammill, M.D., F.A.C.C., F.H.R.S.
- 3 Douglas L. Packer, M.D.
- 4
- 5 CMS Liaison
- 6 Marcel Salive, M.D.
- 7
- 8 Executive Secretary
- 9 Maria A. Ellis

10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

00004

1	TABLE OF CONTENTS	
2		Page
3		
4	Opening Remarks	
5	Maria Ellis/Marcel Salive, M.D./	
6	Clifford Goodman, Ph.D.	6
7		
8	Introduction of Panel	11
9		
10	Presentation of Voting Questions	
11	JoAnna Baldwin	16
12		
13	Guest Speaker's Presentation	
14	Yves D. Rosenberg, M.D., M.P.H.	19
15		
16	Presentation of Technology Assessment	
17	Ann C. Garlitski, M.D.	45
18	Stanley Ip, M.D.	48
19	Ann C. Garlitski, M.D.	57
20		
21		
22		
23		
24		
25		

00005

1	Scheduled Public Comments	
2	David J. Wilber, M.D.	86
3	Marshall Stanton, M.D.	93
4	Matthew R. Reynolds, M.D., S.M.	97
5	Bradley Knight, M.D., F.A.C.C., F.H.R.S.	105
6	Marcia S. Yaross, Ph.D.	111
7	Hugh Calkins, M.D.	117
8		
9	Questions to Presenters	122
10		
11	Initial Open Panel Discussion	151
12		
13	Formal Remarks and Voting Questions	242
14		
15	Final Open Panel Discussion	275
16		
17	Closing Remarks/Adjournment	296

18  
19  
20  
21  
22  
23  
24  
25

00006

1 PANEL PROCEEDINGS

2 (The meeting was called to order at  
3 8:10 a.m., Wednesday, October 21, 2009.)

4 MS. ELLIS: Good morning and welcome,  
5 committee chairperson, vice chairperson,  
6 members and guests. I am Maria Ellis, the  
7 executive secretary for the Medicare Evidence  
8 Development and Coverage Advisory Committee,  
9 MEDCAC.

10 The committee is here today to discuss  
11 the evidence, hear presentations and public  
12 comment, and make recommendations concerning  
13 the use of catheter ablation for the treatment  
14 of atrial fibrillation.

15 The following announcement addresses  
16 conflicts of interest issues associated with  
17 today's meeting and will be made part of the  
18 record. The conflict of interest statutes  
19 prohibit special government employees from  
20 participating in matters that could affect  
21 their or their employer's financial interests.  
22 Each member will be asked to disclose any  
23 financial conflicts of interest during their  
24 introductions.

25 We ask in the interest of fairness

00007

1 that all persons making statements or  
2 presentations also disclose any current or  
3 previous financial involvement in any companies  
4 that manufacture equipment or drugs used to  
5 treat atrial fibrillation or that develop  
6 guidance for the treatment of atrial  
7 fibrillation for public policy-making. This  
8 includes direct financial investment,  
9 consulting fees and significant institutional  
10 support. If you haven't already received a  
11 disclosure statement, they are available on the  
12 table outside this room.

13 We ask that all presenters please  
14 adhere to their time limit. We have numerous  
15 presenters to hear from today and a very tight  
16 agenda and therefore, cannot allow extra time.  
17 There is a timer at the podium that you should  
18 follow. The light will begin flashing when  
19 there are two minutes remaining and then turn  
20 red when your time is up. Please note that  
21 there is a chair for the next speaker, and  
22 please proceed to that chair when it is your  
23 turn. We ask that all speakers addressing the  
24 panel please speak directly into the mic and  
25 state your name.

00008

1 For the record, voting members present  
2 for today's meeting are: Dr. Saty Satya-Murti,  
3 Dr. Virginia Calega, Dr. Mark Carlson,  
4 Dr. Gregory Dehmer, Dr. Mercedes Dullum, Dr.  
5 William Maisel, Dr. Mauro Moscucci, Dr. Craig  
6 Umscheid, and RN Phyllis Atkinson. A quorum is  
7 present and no one has been recused because of  
8 conflicts of interest. The entire panel,  
9 including nonvoting members, will participate  
10 in the voting. The voting scores will be  
11 available on our web site following the  
12 meeting. Two averages will be calculated, one  
13 for voting members and one for the entire  
14 panel.  
15 I ask that all panel members please  
16 speak directly into the mics, and you may have  
17 to share. If you require a taxicab, there is a  
18 signup sheet at the desk outside the  
19 auditorium; please submit your request during  
20 the lunch break. Please remember to discard  
21 your trash in the trash cans located outside of  
22 this room.  
23 And lastly, all CMS guests attending  
24 today's MedCAC committee are only permitted in  
25 the following areas of CMS: The main lobby,

00009

1 the auditorium, the lower level lobby and the  
2 cafeteria. Any persons found in any area other  
3 than those mentioned will be asked to leave the  
4 conference and will not be allowed back on CMS  
5 property again.

6 And now I would like to turn the  
7 meeting over to Dr. Marcel Salive.

8 DR. SALIVE: Thank you, Maria. I am  
9 Marcel Salive and I am the division director  
10 for the Division of Medical and Surgical  
11 Services within the Coverage and Analysis Group  
12 here at CMS. Our role today is as the CMS  
13 liaison to the panel.

14 I want to start by thanking the panel,  
15 each and every one of you for coming today and  
16 serving in this important role. The role of  
17 the MedCAC, as stated earlier, is to give the  
18 Agency recommendations, and today we will be  
19 discussing catheter ablation for atrial  
20 fibrillation. We will discuss the evidence and  
21 hear some presentations, and we have a number  
22 of questions we want you to weigh in on based  
23 on that evidence. So with that, I will turn it  
24 over to Dr. Goodman.

25 DR. C. GOODMAN: Thank you, Marcel.

00010

1 Maria, when would you like us to go down the  
2 list and make disclosures, is it now or after  
3 the remarks from me?

4 MS. ELLIS: You can do it afterwards.

5 DR. C. GOODMAN: Thank you. Welcome  
6 all to what is a fascinating and important  
7 subject affecting millions of Americans, and  
8 much can be learned about atrial fibrillation  
9 and how to manage it. A couple of management  
10 notes.

11 First, we do have a tight agenda as  
12 always. There are quite a few people who are  
13 designated to speak for certain periods of  
14 time. We are going to do our very best to do  
15 that, and we need to be strict about that to  
16 cover all our territory, to hear from all in  
17 our allocated time.

18 Special requests that I make all the  
19 time, and will make again in further meetings,  
20 is that if you have something to say, we think  
21 it's probably quite important and we don't want  
22 to miss it. In order for us to capture that,  
23 and for our dear court reporter to capture it,  
24 please don't speak until recognized, please do  
25 come to the microphone, please do be concise.

00011

1 That way the panel will hear the important  
2 things you do have to say and that way our  
3 court reporter will be able to capture what you  
4 say with your name accurately, and if that  
5 doesn't happen, we're going to miss this very  
6 important input today.

7 Again, I will stress that we'll need  
8 to be strict. We do have the lights that will  
9 kind of flash in various colors, I may flash  
10 you how many minutes you've got left, I may  
11 give you a one or two-minute sign, and we ask  
12 that you stick to that. I think that's it for  
13 my introductory remarks as far as logistics and  
14 management.

15 Do you want us to walk through the  
16 disclosures at this time?

17 MS. ELLIS: Yes.

18 DR. C. GOODMAN: As we introduce  
19 ourselves, will you just say anything of  
20 importance that you want to disclose.  
21 I'm Cliff Goodman, I'm with the Lewin  
22 Group healthcare policy consulting firm. I  
23 know that the Lewin Group is a subsidiary, one  
24 of several subsidiaries of Ingenix, which is a  
25 data analysis and healthcare information firm.

00012

1 Ingenix in turn is one of multiple subsidiaries  
2 of something called United Health Group, and  
3 among its multiple subsidiaries is United  
4 Health Care, a major payer. So far as I know,  
5 I have no personal financial interests. My  
6 company, the Lewin Group, has over the last 13  
7 years that I've been there on occasion had  
8 contracts with some of the companies,  
9 pharmaceutical firms and medical device firms,  
10 some of which are involved in management of  
11 atrial fibrillation. To the best of my  
12 knowledge we have, and I have not done any work  
13 under those contracts pertaining to the subject  
14 matter today.

15 DR. SATYA-MURTI: Saty Satya-Murti. I  
16 am a neurologist and have consulted, three  
17 years ago I consulted on the general topic of  
18 treatment of atrial fibrillation, medical and  
19 nonmedical. The compensation was less than  
20 \$500 and I have not since consulted for, on  
21 this topic, and it was not product-specific.

22 DR. CALEGA: I'm Virginia Calega. I'm  
23 at Highmark, which is one of the 39 Blue Cross  
24 Blue Shield companies, I am responsible for  
25 medical policy on our commercial side of our

00013

1 business, and I also serve on the medical  
2 policy panel for the Blue Cross Blue Shield  
3 Association.

4 DR. CARLSON: Mark Carlson. I'm an  
5 employee of St. Jude Medical, I'm medical  
6 officer for the cardiac rhythm management  
7 division. St. Jude Medical's atrial division  
8 manufactures equipment that's used for managing  
9 atrial fibrillation. Until three years ago I  
10 was a practicing cardiac electrophysiologist  
11 and participated in ablation procedures.

12 Though I never did an ablation for atrial  
13 fibrillation, I did refer patients for that  
14 procedure, and for heart failure.

15 DR. DEHMER: I'm Gregory Dehmer, I'm  
16 an interventional cardiologist, a professor of  
17 medicine at Texas A&M Health Science Center  
18 College of Medicine, and director of the  
19 cardiology division of the Scott & White Clinic  
20 in Temple, Texas. I have no financial  
21 disclosures.

22 DR. DULLUM: I am Mercedes Dullum,  
23 cardiac surgeon at the Cleveland Clinic in  
24 Florida. I have no financial disclosures.

25 DR. MAISEL: William Maisel, a cardiac

00014

1 electrophysiologist at Beth Israel Deaconess  
2 Medical Center in Boston. I do perform atrial  
3 fibrillation ablation and I have no conflicts  
4 to disclose.

5 DR. MOSCUCCI: Mauro Moscucci, an  
6 interventional cardiologist and the chief of  
7 cardiology at the University of Miami, and no  
8 financial disclosures pertaining to this topic.

9 DR. UMSCHIED: I'm Craig Umscheid, an  
10 assistant professor of medicine at the  
11 University of Pennsylvania. I'm also  
12 co-director of the University of Pennsylvania  
13 Center For Evidence-Based Practice. I'm a  
14 hospitalist by clinical training.

15 MS. ATKINSON: I'm Phyllis Atkinson,  
16 gerontological nurse practitioner. I have my  
17 own geriatric medical house call practice, so  
18 many of those that I treat are very frail  
19 elderly adults. I have nothing to disclose.

20 DR. THOMAS: Hi, I'm Neal Thomas, I  
21 work with Pfizer Corporation, I'm a  
22 statistician, and Pfizer does have medications  
23 currently as well as under development for  
24 atrial fibrillation.

25 DR. HAMMILL: Steve Hammill, professor

00015

1 of medicine at Mayo Clinic, a former president  
2 of the Heart Rhythm Society. In the past I  
3 have been on the health policy advisory board  
4 for Pfizer and subsequently I have stepped down  
5 from that board.

6 DR. PACKER: I'm Doug Packer, a  
7 practicing cardiac electrophysiologist from the  
8 Mayo Clinic, and I'm also involved in some  
9 research there. I am the PI of the CABANA  
10 trial, which may be mentioned. That was funded  
11 by NHLBI and it's also funded by several  
12 industry groups. Some of my transactional work  
13 in clinical studies are also funded by industry  
14 groups. I've been on a series of advisory  
15 boards, not currently taking remuneration, in  
16 past relationships I have. I do have a couple  
17 of royalty bearing relationships that have to  
18 do with intellectual properties licensed  
19 through Mayo to an industry group. So those  
20 are the conflicts that should be kept in mind  
21 during the portion of this hearing.

22 DR. C. GOODMAN: Thank you all. And  
23 just as a reminder to our panel, make sure to  
24 file your disclosure statement before you leave  
25 today's hearing. Thank you very much.

00016

1 I think we will now proceed to the CMS  
2 presentation of the voting questions from  
3 JoAnna Baldwin, and I do hope that this  
4 background noise will fade soon.

5 DR. SALIVE: Before JoAnna speaks, I  
6 would like to mention that CMS has no national  
7 coverage policy on AFib ablation at this time  
8 and we have no open coverage decision at this  
9 time, so we are really just discussing the  
10 evidence at this meeting and getting a buzz.  
11 Thank you.

12 DR. C. GOODMAN: Thank you, Marcel,  
13 and Ms. Baldwin, you've got 15 minutes.

14 MS. BALDWIN: I am JoAnna Baldwin, and  
15 today I will be reading for the record today's  
16 MedCAC panel questions. For the questions we  
17 have today, we have a discussion section for  
18 the panel, and these questions will not be  
19 voted on.

20 Our first discussion question group  
21 regards clinical comparators. What is the  
22 appropriate clinical comparison for catheter  
23 ablation? Does the evidence use appropriate  
24 comparison groups?  
25 Regarding population, what

00017

1 subpopulations of patients with atrial  
2 fibrillation should be considered for treatment  
3 of catheter ablation (paroxysmal, persistent,  
4 first-line, second-line treatment, et cetera)?  
5 Does the evidence address the appropriate  
6 patient populations?  
7 Regarding outcome, what are the  
8 outcomes of interest, for example survival,  
9 termination of arrhythmia, hospitalization,  
10 medications for heart rate, rhythm and  
11 anticoagulants, recurrence of atrial  
12 fibrillation, adverse events, scarring? Does  
13 the available evidence assess these outcomes?  
14 Have the adverse events been both qualitatively  
15 and quantitatively characterized? What is the  
16 appropriate duration of follow-up? Does the  
17 available evidence follow patients for the  
18 appropriate period of time?  
19 Regarding device characteristics and  
20 physician training, what is the importance of  
21 the varying devices and techniques used for  
22 ablation? Should the procedure be limited to  
23 physicians with specialized training such as  
24 electrophysiologists or surgeons?  
25 This is the presentation of the voting

00018

1 scale that will be used today. On a scale of  
2 confidence, one is not confident while five is  
3 highly confident.  
4 The first voting question. How  
5 confident are you that the evidence is adequate  
6 to draw conclusions about health outcomes of  
7 interest to patients treated with catheter  
8 ablation for atrial fibrillation?  
9 Voting question number two. How  
10 confident are you that catheterization for the  
11 treatment of atrial fibrillation improves  
12 health outcomes compared to other therapies or  
13 treatments in the following populations:  
14 A, as first-line therapy;  
15 B, as second-line therapy;  
16 C, for first detected atrial  
17 fibrillation;  
18 D, for longstanding, greater than one  
19 year, atrial fibrillation;  
20 E, for paroxysmal atrial fibrillation;  
21 and  
22 F, for persistent atrial fibrillation?  
23 Voting question number three. How  
24 confident are you that ablation improves  
25 long-term, greater than one year, health

00019

1 outcomes?

2 Voting question number four. How

3 confident are you that the outcomes can be

4 extrapolated to:

5 A, patients outside of controlled

6 clinical studies, and

7 B, the Medicare beneficiary population

8 aged 65 years and older, and 56 percent female?

9 Voting question number five. How

10 confident are you that additional evidence is

11 needed?

12 We've included another section of

13 discussion questions regarding additional

14 evidence. What type of additional evidence is

15 needed to determine health outcomes? What

16 study designs are most appropriate to obtain

17 this additional evidence?

18 Thank you.

19 DR. C. GOODMAN: Thank you, Ms.

20 Baldwin. Are we ready to proceed to

21 Dr. Rosenberg now? I believe so.

22 Dr. Rosenberg, we have you scheduled for 30

23 minutes.

24 DR. ROSENBERG: Good morning. Thank

25 you very much for the invitation. When

00020

1 Dr. Salive invited me to this meeting, he asked  
2 me to set the stage for a discussion by  
3 covering the background for atrial  
4 fibrillation, and I entitled my talk from  
5 Framingham to CABANA, which means I am going to  
6 cover very briefly some of the etiology  
7 evidence and its importance with atrial  
8 fibrillation. I will also weigh the various  
9 treatment options, including the CABANA studies  
10 that the NHLBI is presently conducting. What I  
11 will not do is cover any of the reasonableness  
12 regarding clinical use in the different  
13 populations, as this would be covered during  
14 the next presentation.  
15 So this is where I have been working  
16 for the past 16 years. Some of you might  
17 wonder about my accent, that's where I come  
18 from, which is the town called Lyon, France,  
19 it's the largest city in France. Here's my  
20 disclosure side and I can assure you that my  
21 government salary will not go up, whatever the  
22 outcomes of these studies are.  
23 So to start this presentation, here is  
24 a slide, and you see a physician and his  
25 patient having a toast with a glass of French

00021

1 wine. And the physician says mind you, only  
2 one doctor out of ten recommends it. So it's  
3 just outlining how important this meeting is to  
4 advise the physicians and patients who don't  
5 have this type of discussion, that it's based  
6 on some better evidence than that.  
7 So, I am going to very briefly in the  
8 next 30 minutes outline the burden of atrial  
9 fib in the United States today, outline the  
10 mortality and morbidity associated with AF,  
11 some of the risk factors, and go over the  
12 pharmacological treatments for AF, very briefly  
13 of course, and conclude by outlining why  
14 maintenance of sinus rhythm might be important  
15 in the treatment of AF, by outlining some of  
16 the data from some of the studies that led us  
17 to decide to support CABANA as well.  
18 So, the burden of AF, I think of  
19 course, as most of you know, AF affects mostly  
20 elderly people. That's why this is such an  
21 important problem and decision for CMS, and  
22 this slide outlines that with the three main  
23 immunologically caused studies that show a  
24 clear association between age and incidence of  
25 AF. AF is really a nonexistent problem before

00022

1 people reach the age of 60, showing only an  
2 incidence of one percent, which approximately  
3 doubles after each decade.  
4 This shows you given the actual  
5 incidence and prevention of AF, and the data  
6 that we've seen in the last 20 years that AF  
7 may increase in a significant fashion in the  
8 next 50 years, affecting 12 to 15 million  
9 people in the United States at this time.  
10 I will not comment in any detail what  
11 is, what are the risk factors for AF and how  
12 they need to be viewed as to AF and its  
13 complications, just, the slide just states how  
14 complex these relationships are. I will just  
15 for the record state that the most preventable  
16 condition associated with AF is hypertension,  
17 and we want to know what the relationship with  
18 age hypertension has. It is really a much  
19 stronger predictor of AF than any other of the  
20 risk factors. We know even other  
21 cardiovascular disease like prior MI and heart  
22 failure are, the associations with AF is much  
23 less prominent.  
24 So why is AF such an important  
25 problem? As most of you know, there is a very

00023

1 strong association between AF and mortality, as  
2 has been shown by the Framingham study and  
3 others. This slide shows you with the younger  
4 ages on the left and the older age groups on  
5 the right, the doubling of the risk of  
6 mortality over time in both men and women.  
7 Not only AF increases mortality but it  
8 increases morbidity, and most of you, again,  
9 know that the main morbidity associated with AF  
10 is a stroke. This slide shows you how the risk  
11 of stroke increased with age in both men and  
12 women, but of course one of the corollaries of  
13 the morbidity associated with this whole area,  
14 the cost of treatment, and the right-hand side  
15 shows you that in most major age groups with  
16 both men and women, there is an increase of 20  
17 to 30 percent within age groups of the cost of  
18 health care for people after atrial  
19 fibrillation or AF.  
20 The relationship between stroke and AF  
21 is especially important in the Medicare age  
22 group because of the increased prevalence of  
23 atrial fibrillation with age, as I just showed  
24 you. So this is also going to, you see that  
25 the relative risk on the other slide is similar

00024

1 among older age groups, so the population as  
2 you go through the risk increases greatly with  
3 age.

4 The relationship between heart failure  
5 and AF is a much more complex one and there's  
6 still the controversy of which is what, does AF  
7 cause heart failure, or vice versa, but the  
8 data that we have shows that there is a strong  
9 association between the two conditions and when  
10 looking at the data before it's adjusted, like  
11 here on the left, and adjusted here when you  
12 pool data from most prospective clinical trials  
13 of heart failure, they have a strong  
14 association with AF.

15 So what is the treatment strategy for  
16 AF? We decided to just summarize the treatment  
17 options we have nowadays to treat AF. As I  
18 mentioned, the sinus rhythm with both  
19 pharmacologic and nonpharmacologic functions,  
20 including ablation, rate control functions with  
21 pharmacologics and nonpharmacologics, and  
22 stroke prevention, mostly from pharmacology.  
23 I will start by outlining the  
24 treatment options for, the rate control  
25 treatment options for AF, just focusing on the

00025

1 main pharmacologic options. The  
2 nonpharmacologic options are used in a known  
3 small percentage of AF patients. So here's the  
4 main treatment options that we're looking at.  
5 First, you see the different treatment  
6 options that are used nowadays to control rate  
7 in the Medicare age population and you see that  
8 there's a wide variation of rate control and  
9 you need very often to consider a combination  
10 of these various treatment options. But if you  
11 do so, rate control can be achieved in a very  
12 high percentage of AF patients.  
13 As this slide from the AFFIRM study  
14 shows you in yellow, that this very controlled  
15 treatment strategy could not be achieved and  
16 had to be abandoned only in about 10 percent of  
17 the AFFIRM patients that were five years apart.  
18 On the other hand, the gray or blue line in the  
19 bottom shows you that rhythm control was  
20 achieved also, it was achieved in more than 70  
21 percent of the AFFIRM population, which was  
22 aged above 60.  
23 So going to the reasonable control  
24 options, as I just showed you, it is possible  
25 to achieve reasonable control in a majority of

00026

1 patients if you use the various options that  
2 are available, and you see here that only using  
3 one treatment option can lead you to use  
4 different ones to see which is the most  
5 effective one and you can achieve rhythm  
6 control in a significant amount of patients.  
7 If you fail, you can combine these treatment  
8 options and achieve reasonable control in about  
9 80 percent of patients.

10 So here's a meta-analysis summarizing  
11 the efficacy of antiarrhythmic drugs in terms  
12 of clinical outcomes. I just would remind you  
13 that when you look at clinical outcomes, the  
14 data as a group for many antiarrhythmic drugs,  
15 and whether they're type IA or type IC drugs,  
16 there seems to be an increase in events,  
17 whereas for class III drugs and metoprolol that  
18 we have, the meta-analysis is not able to show  
19 any difference. When you compare -- a note on  
20 this -- the other drugs as shown here at the  
21 bottom, the other one seems to be more  
22 effective in preventing clinical outcomes. But  
23 again, it seems to have an adverse effect.  
24 If you look at the safety side of the  
25 antiarrhythmic drugs, and this is from the same

00027

1 meta-analysis, then you can see why maybe these  
2 drugs have some adverse clinical outcomes, as  
3 on the right-hand side here, while little  
4 effect observed with most of these drugs. And  
5 again, the only one that did not show an  
6 adverse effect is metoprolol compared to class  
7 I drugs, and sotalol does seem to have an  
8 adverse effect.

9 So, here's a slide that summarizes the  
10 difference and the reason that can be used to  
11 control rhythm in AF patients, and this is  
12 taken from the 2006 guidelines from the AHA/ACC  
13 and European societies, which are the  
14 guidelines that most cardiologists and other  
15 people will follow to treat patients with AF.  
16 And so depending on the underlying heart  
17 condition, you can see how this difference, or  
18 these options can be used.

19 And just outlining that here, you can  
20 see the cardiac ablation is used only as a  
21 second or third-line treatment option as  
22 recommended for use by these guidelines.  
23 Going down now to the question of rate  
24 versus rhythm, most of you are probably  
25 familiar with this data. The AFFIRM study, as

00028

1 we know, was the first large scale study  
2 directly comparing these two treatment options,  
3 and clearly demonstrated in this population of  
4 elderly patients with risk factors for stroke,  
5 that there were no advantage of rhythm control,  
6 and on the left-hand side you can see that  
7 there was a trend, there was an increase of  
8 mortality for the rhythm control option on the  
9 right-hand side, and with a combined endpoint  
10 there was really absolutely no difference  
11 between the two treatment options.  
12 In this data from AFFIRM, it was  
13 conducted directly comparing those two  
14 treatment options and you can see there that it  
15 says virtually no difference, not including the  
16 PIAF and STAF studies, that was a sizable study  
17 population, and the result of these studies and  
18 the others that have been conducted mirror the  
19 studies in that there is no difference shown.  
20 So, the third treatment option that is  
21 used in most patients is antithrombotic  
22 treatments to prevent most events, especially  
23 stroke. And for most patients as shown on this  
24 slide, warfarin is the most effective treatment  
25 whether you compare it to aspirin or to all

00029

1 other antiplatelet agents. This is based on a  
2 benefit of warfarin with a 70 percent risk  
3 reduction in stroke, and I also attempted to  
4 show you the safety of the use difference,  
5 antithrombotics accounting for the increase,  
6 and you can see that the increased reduction is  
7 still in favor of warfarin.

8 So what we know about these treatment  
9 options, and the latest one that's, that is  
10 available is the thrombin inhibitor Dabigatran.  
11 These have been studied and proven effective,  
12 but none of them have made it to the market  
13 because of various reasons like they're mostly,  
14 Dabigatran, at least from the results of the  
15 study shown here, it doesn't seem to have any  
16 adverse effect, at least in this study, and  
17 seems to be at least for the higher dose  
18 comparable to warfarin in the prevention of  
19 significant clinical events.

20 However, I want to outline, this is  
21 the results from the (inaudible) was presented,  
22 was most encouraging, and the results of the  
23 other studies, and we hope that Dabigatran  
24 won't have the same fate, but we will have to  
25 wait for the analysis.

00030

1 I want to briefly outline that we are  
2 trying to evaluate treatment with warfarin by  
3 using information regarding genetic science as  
4 shown in the prospective studies, to influence  
5 the effective dose of warfarin, and at least we  
6 are currently conducting the COAG trial, which  
7 is a trial conveying the strategy of initiating  
8 warfarin treatment while trying to achieve both  
9 clinical and genetic information to reshape  
10 warfarin treatment. And this trial will, the  
11 objective of this trial is to show whether or  
12 not the use of genetic information improves  
13 anticoagulation control after one month of  
14 therapy. The first stage has shown that the  
15 genetics may have a benefit, although it will  
16 need to be confirmed if this is positive by a  
17 larger effectiveness study.  
18 This is the design of the COAG study,  
19 which is a standard double-blinded study, in  
20 which participants for the first five days, we  
21 initiated those, and then it's conducted,  
22 blinded both as to dose, and the dose of  
23 warfarin is unknown to the clinician, so at the  
24 end we will know if the influence was truly  
25 genetic information that we had at NCL.

00031

1 So going down now to the question of  
2 really, if we can safely and effectively  
3 maintain sinus rhythm, how or what are the  
4 benefits? The ATHENA trial is showing that  
5 there seems to be clinical benefits in terms of  
6 a combined clinical outcome and also in terms  
7 of (inaudible) cardiovascular event when you  
8 compare it to placebo or other treatments of  
9 AF. So as a first study, the first graph is to  
10 show clinical benefit of a well maintained  
11 sinus rhythm. However, I want to point out  
12 that this is done in the context of treatment  
13 for atrial fibrillation and that the main  
14 benefit of it was shown to (inaudible) knowing  
15 that (inaudible) effective, yet preventing AF,  
16 like shown in AFFIRM and shown in other  
17 studies, it was not too surprising that  
18 (inaudible) showed benefits in prevention of  
19 first occasion for AF, and that was a major  
20 benefit that's alleged to show a benefit using  
21 the combined endpoints.

22 So, I always reach this slide with a  
23 lot of trepidation because this on-treatment  
24 analysis has been cited by many as a basis for  
25 why if we do safely and effectively maintain

00032

1 sinus rhythm, this would be the preferred  
2 option in most patients. However, after many  
3 hours of discussion with statisticians and  
4 others with regard to the analyses, I'm going  
5 there very very carefully.  
6 So we conducted at first, after the  
7 completion of AFFIRM, what we called an  
8 on-treatment analysis, where we looked at not  
9 only baseline predictors of maintenance of  
10 sinus rhythm, but also possibly at,  
11 during-treatment factors that could be  
12 predictors of maintenance of sinus rhythm and  
13 positive clinical outcomes, and we showed that  
14 when you use a (inaudible) type analysis, that  
15 sinus rhythm was highly predictive of a  
16 favorable outcome, as was warfarin use, when  
17 digoxin was shown to have adverse effects, but  
18 also rhythm control was shown to have a  
19 significant adverse effect on clinical  
20 outcomes.  
21 So what is it that we can get based on  
22 these analyses? First, when sinus rhythm was  
23 included in the survival analysis as a separate  
24 factor, the sinus rhythm variable expressed the  
25 beneficial effect of antiarrhythmic drugs, and

00033

1 the antiarrhythmic variable drug expressed only  
2 the detrimental effects. Therefore, a possible  
3 conclusion may be that antiarrhythmic drugs are  
4 associated with increased mortality.  
5 However, when sinus rhythm as a  
6 separate factor was removed from the analyses,  
7 the beneficial antiarrhythmic effect of  
8 antiarrhythmic drugs in maintenance of sinus  
9 rhythm offset their detrimental effects such as  
10 toxicity, morbidity and mortality. Therefore,  
11 one possible conclusion is that antiarrhythmic  
12 drugs are not associated with increased  
13 mortality.  
14 So one possible conclusion of these  
15 on-treatment analyses is that the association  
16 of sinus rhythm, but not antiarrhythmic drugs,  
17 with improved survival may reflect that  
18 currently available antiarrhythmic drugs are  
19 neither highly efficacious nor completely safe.  
20 Therefore, a treatment that's highly effective  
21 in maintaining sinus rhythm with minimal  
22 adverse effects might be expected to improve  
23 survival.  
24 However, as I stated in starting this  
25 presentation on the on-treatment analysis,

00034

1 these analyses cannot distinguish whether sinus  
2 rhythm is an important determinant of survival,  
3 or just a marker for other factors associated  
4 with survival.  
5 So, what do the guidelines tell us now  
6 regarding the management of atrial  
7 fibrillation? So whether it's newly discovered  
8 AF, on the left-hand side, or if it's recurrent  
9 or paroxysmal AF, they show you that AF  
10 ablation right now shouldn't be a primary  
11 treatment option, it's not even a recommended  
12 option for newly discovered AF. The same thing  
13 when it's recurrent or persistent, the  
14 recurrent guidelines tell you not to even think  
15 of this option in the most recent protocol.  
16 So, I'm now going to spend the last  
17 few minutes of this presentation presenting the  
18 CABANA study we're now currently conducting, in  
19 which we decided to fund based on the data  
20 shown you from other studies, to show that it  
21 may be a strategy that was safe and effective,  
22 it would (inaudible) option weighing all of  
23 those.  
24 So as a hypothesis, the CABANA trial  
25 is designed to test that the treatment strategy

00035

1 of left atrial catheter ablation for the  
2 purpose of eliminating atrial fibrillation or  
3 AF will be superior to current state-of-the-art  
4 therapy with either rate control or rhythm  
5 control drugs for reducing total mortality in  
6 patients with untreated or incompletely treated  
7 AF.

8 The primary outcome of the CABANA  
9 trial is to reduce mortality. We have a number  
10 of important secondary outcomes, the first one  
11 which combines some clinical outcomes, and you  
12 can see also down the list if you look at the  
13 other outcomes, you see the outcomes that were  
14 used in early ablation studies, and other  
15 endpoints associated with AF. Very importantly  
16 in CABANA, we're also going to look at quality  
17 of life and costs of the different treatment  
18 strategies.

19 The inclusion criteria for CABANA  
20 somewhat mirrors those of the AFFIRM study,  
21 therefore including the larger population that  
22 is concerned with AF, which is the Medicare  
23 aged population, which has a significant burden  
24 of AF and its risk factor for stroke. However,  
25 in CABANA, the patients have to be in the

00036

1 Medicare population and be eligible for  
2 catheter ablation and at least sequential  
3 rhythm control and/or rate control drugs. The  
4 CABANA patients cannot have failed more than  
5 one of the other treatment options, otherwise  
6 they will be a failure based on cause.

7 I am not going to go into detail on  
8 the CABANA exclusion criteria, just, I do  
9 emphasize that we do want patients who are at  
10 significant risks of complications from AF and  
11 as stated, we excluded patients who have  
12 failed.

13 So this outlines the very simple, in  
14 some ways, the design of the CABANA trial,  
15 outlining the randomization between the two  
16 treatment options, either pharmacology  
17 treatment or ablation.

18 The treatment arms used in CABANA are  
19 outlined here. The ablation will be primary  
20 vein isolation using a circumferential ablative  
21 approach in the left atrium, using circular  
22 mapping, antral isolation using a circular  
23 guided approach, or a wide area circumferential  
24 ablation.

25 As far as the patients randomized into

00037

1 the other arm, they will receive a current  
2 state-of-the-art drug therapy for atrial  
3 fibrillation for rate control or rhythm  
4 control. Following guidelines are encouraged,  
5 but the specific choice of rate control versus  
6 rhythm control and especially the drugs to be  
7 used are left to the discretion of the treating  
8 physician.  
9 Here are the statistical  
10 considerations for the CABANA trial. Here are  
11 3,000 patients, the trial was to be conducted  
12 in 140 sites. We hope to be able to recruit  
13 patients within three years and follow them for  
14 a minimum of two years. The study will have at  
15 least 80 percent power to detect a 25-to-30  
16 percent mortality reduction, but also will have  
17 greater than 90 percent power to detect a 25  
18 percent reduction in the key secondary  
19 endpoint.  
20 Those statistical calculations are  
21 very conservative. The statistical data  
22 entered can be related to sample size and they  
23 assume loss to follow-up or cross-over, but we  
24 believe there is still plenty of power in  
25 CABANA to detect the assumed difference between

00038

1 the treatment options.  
2 In conclusion, I tried in 30 minutes  
3 to set up the stage as Dr. Salive asked me for  
4 future discussions and outline why atrial  
5 fibrillation is such an important problem,  
6 especially in the Medicare-aged population,  
7 what are the various treatment options.  
8 And just as a summary, we conducted a  
9 workshop on prevention of atrial fibrillation  
10 in April 2008, and although most of the work  
11 showed positive gains in intervention and  
12 prevention, it also showed gaps in knowledge  
13 regarding pathology and other factors leading  
14 to atrial fibrillation. There were also  
15 several recommendations regarding secondary  
16 intervention and institution of therapy for the  
17 prevention of AF, and that included a study of  
18 patients with presumed early AF to prevent  
19 frequent AF, which is really a question that  
20 CABANA will hopefully address. Such studies  
21 should include morbidity and mortality, and we  
22 have to use results of these studies to inform  
23 any future primary AF prevention studies.  
24 And I want also to outline that in the  
25 Institute of Medicine report that was published

00039

1 also last June, which outlined the first  
2 quartile, it listed AF as its first priority,  
3 and they said that it was a very important  
4 priority to compare the effectiveness of  
5 treatment strategies for atrial fibrillation,  
6 including surgery, catheter ablation, and  
7 pharmacologic treatment, and we're very happy  
8 that we had decided to conduct the CABANA study  
9 way before the Institute of Medicine.

10 So in conclusion, I hope now that you  
11 know maybe a little more what the question is,  
12 and that you will be able to find the right  
13 answer. Thank you.

14 DR. C. GOODMAN: Thank you very much,  
15 Dr. Rosenberg, very helpful. We have a few  
16 minutes, and only a few, if any of our panel  
17 members have a question for Dr. Rosenberg, was  
18 there anything that you saw that didn't look  
19 right, anything that he did not mention that  
20 you would like to know at this point? We will  
21 have time later. Anything at this point? Dr.  
22 Umscheid, yes?

23 DR. UMSCHIED: There is one slide that  
24 you put up that was a meta-analysis of rate  
25 versus rhythm, and it looked like the

00040

1 meta-estimate was in favor of rate control and  
2 there was a significant reduction in mortality.  
3 I don't know if I saw that incorrectly or  
4 mischaracterized it.

5 DR. ROSENBERG: There was a trend that  
6 based on the risk analysis was insignificant.

7 DR. UMSCHIED: I think the confidence  
8 interval was low.

9 DR. ROSENBERG: It was, I don't  
10 remember the details, but there were several  
11 that were conducted in fact that both showed  
12 that there was no significant trend.

13 DR. C. GOODMAN: Okay. Dr. Satya-Murti.

14 DR. SATYA-MURTI: When you're going on  
15 with the CABANA study, these patients would  
16 obviously be coming for more medical encounters  
17 than if left alone, so would they also be  
18 getting attention to the comorbidities which  
19 may then help in the outcome, like they would  
20 see more physicians and hypertension would be  
21 more aggressively treated?

22 DR. ROSENBERG: We hope they will  
23 receive optimal treatment for comorbidities, so  
24 that this would not affect the outcome of the  
25 study, this would be another reason. We have

00041

1 in the sample population assumed that there  
2 will be optimal treatment and that's how we  
3 chose the event rates, assuming the optimal  
4 treatment for comorbidities.

5 DR. C. GOODMAN: Thank you. Then I  
6 have a question or two, Dr. Rosenberg. First  
7 of all, some of our background material I  
8 believe suggested that the prevalence was about  
9 two million people, but in one of your early  
10 slides I think I saw in year 2010, 6.1 million  
11 people. Is there a difference there that we're  
12 missing?

13 DR. ROSENBERG: I think that is a  
14 highly variable estimate that has to be looked  
15 at. I think the latter number may be more  
16 correct today. Most of the studies are  
17 presented using the suppression that was  
18 completely happening in the 1990s and then  
19 there was increasing incidence. So I don't  
20 want to be caught in any (inaudible) but the  
21 prevalence seems to be increasing as of today.

22 DR. C. GOODMAN: So the incidence is  
23 increasing, but your slide indicates roughly  
24 six million people, Americans have atrial  
25 fibrillation; is that what the slide suggested?

00042

1 DR. ROSENBERG: I think that's a fair  
2 assumption. Others may have other data, but I  
3 think that's correct.

4 DR. C. GOODMAN: And what percentage  
5 of those do you consider are age 65 and older  
6 or disabled, i.e., Medicare beneficiaries?

7 DR. ROSENBERG: Even the data I showed  
8 you on the by age group, there is, a huge  
9 majority of patients are 65, that's to both  
10 populations. As the population's age  
11 increases, the incidence and prevalence is  
12 increasing, and that's why this graph is  
13 showing such an increase over the next 15  
14 years.

15 DR. C. GOODMAN: So in terms of  
16 relative magnitude of the problem, it sounds as  
17 though perhaps in excess of five million  
18 Americans, if not quite six, with atrial  
19 fibrillation are Medicare beneficiaries, and  
20 I'm reminded that the total number of Medicare  
21 beneficiaries is about 42 million, so that  
22 would appear to be a rather significant portion  
23 of our Medicare beneficiaries.

24 DR. ROSENBERG: It certainly is.

25 DR. C. GOODMAN: Dr. Moscucci.

00043

1 DR. MOSCUCCI: I just was wondering if  
2 you could comment in relation to the inclusion  
3 and exclusion in CABANA and particularly in  
4 relation to a patient with atrial fibrillation  
5 failure, or perhaps Dr. Packer --

6 DR. ROSENBERG: One or two comments  
7 about that. We need to include patients that  
8 are reasonable for both treatment options,  
9 that's basically how we, and that's -- in which  
10 either treatment option may be effective.  
11 That's why we decided to exclude patients that  
12 had failed already several of those treatment  
13 options.

14 DR. C. GOODMAN: Dr. Packer, you have  
15 been summonsed.

16 DR. PACKER: Then I shall respond. In  
17 putting CABANA together we wanted to cast a  
18 broad net among populations for atrial  
19 fibrillation. Technically it's patients who  
20 have new onset or other treatment for atrial  
21 fibrillation, and these are patients who have  
22 not already, they are therapy naive to some  
23 degree in the sense that it's not fair to make  
24 a comparison of ablation and drug therapy if  
25 the patients have already received three or

00044

1 four drugs and failed. The thing that CABANA  
2 doesn't do is exclude patients based on age.  
3 It has to give us a lot of information about  
4 patients who are over 65 and not over 75, and  
5 it cannot exclude patients that have a cutoff  
6 of atrial size.  
7 If you look at a lot of the different  
8 trials you will see patients that if they have  
9 a 45-millimeter left atrial size, they don't  
10 get into the trial, and certainly if they're  
11 over 60 they don't get into those trials. And  
12 so CABANA is designed to look at a tougher  
13 group of patients and to come up with mortality  
14 conclusions.  
15 DR. C. GOODMAN: Thank you very much,  
16 Dr. Packer. Dr. Rosenberg, we very much  
17 appreciate your presentation and hope you will  
18 remain for the remainder of the day so we can  
19 ask you further questions. We're going to move  
20 now to the technical assessment presentation,  
21 and Dr. Rosenberg, I would add this as a  
22 footnote to your wonderful presentation. Yes,  
23 AFib is one of the 100 top burdens to medicine,  
24 it is indeed one of the top 25 and top tier.  
25 It happens to be listed first by virtue only of

00045

1 the alphabet, as you certainly know, but it is  
2 certainly in the top 25. Thank you, sir.  
3 We will now proceed to the technology  
4 assessment presentation by Dr. Garlitski and  
5 Dr. Ip.  
6 DR. GARLITSKI: Thank you. My name is  
7 Ann Garlitski, I am an assistant professor of  
8 medicine at Tufts Medical Center, and I'm also  
9 the codirector of the cardiac arrhythmia  
10 center. Dr. Ip and myself will alternate, we  
11 will both participate in the talk. I will  
12 start and finish and Dr. Ip will give the  
13 middle substance of the talk.  
14 This review, the Comparative  
15 Effectiveness of Radiofrequency Catheterization  
16 For Atrial Fibrillation, was funded by the  
17 Agency for Healthcare Research and Quality.  
18 Potential conflicts of interest are that Dr.  
19 Alsheikh-Ali and myself do perform the  
20 procedure.  
21 The topics we will review are as  
22 follows: Classification of atrial  
23 fibrillation; management options, I will be  
24 brief, because Dr. Rosenberg has already  
25 covered those; the analytic framework of the

00046

1 key questions; comparative effectiveness of  
2 radiofrequency ablation versus medical  
3 treatment; patient and intervention level  
4 characteristics; and the different approaches,  
5 techniques of RFA; and we will end with adverse  
6 events.

7 One note in regard to the  
8 classification of atrial fibrillation, the  
9 terms that are used are as follows: Paroxysmal  
10 atrial fibrillation is defined as recurrent AF  
11 greater than or equal to two episodes that  
12 terminate spontaneously within seven days.  
13 Persistent atrial fibrillation is sustained  
14 beyond seven days, or lasting less than seven  
15 days but requiring pharmacologic or electric  
16 cardioversion. Longstanding persistent is a  
17 continuous AF greater than one year in  
18 duration. And permanent is AF that is accepted  
19 as the final rhythm.

20 One note also about the term chronic.  
21 Prior to 2006 in the ACC/AHA/ESC and HRS  
22 guidelines, the term chronic was used for AF,  
23 which is now termed either longstanding  
24 persistent or permanent. To be most accurate,  
25 we will use the terms which were used in the

00047

1 studies.  
2 We have already discussed in detail  
3 the management options for atrial fibrillation,  
4 they are either one or a combination of what is  
5 below. Rate control, such as a blocking agent,  
6 AV node ablation and pacemaker implant. Rhythm  
7 control, most commonly one will see a class III  
8 agent. Surgery, which is most often used in  
9 conjunction with another cardiac procedure.  
10 And radio frequency catheter ablation.  
11 This is a schematic of our analytic  
12 framework. The patient population on the  
13 bottom left are the adults that are patients  
14 with paroxysmal, persistent or chronic atrial  
15 fibrillation. The intervention is  
16 antiarrhythmia agents or radiofrequency  
17 ablation, with the goal of sinus rhythm. Sinus  
18 rhythm may in turn prevent or improve clinical  
19 outcomes which are noted on the right-hand  
20 side.  
21 From that framework, we posed four key  
22 questions. Key question number one, what is  
23 the effect of RFA compared to surgical or a  
24 medical treatment on shorter, six to 12 months,  
25 or longer, which is greater than 12 months,

00048

1 long-term rhythm control, rates of congestive  
2 heart failure, volume changes, rates of stroke,  
3 quality of life, avoidance of anticoagulation,  
4 readmissions and reinterventions for atrial  
5 fibrillation.

6 Key question number two. What are the  
7 patient and intervention level characteristics  
8 associated with RFA on rhythm control?

9 Key question three. How does the  
10 effect of RFA on rhythm control differ among  
11 the techniques?

12 And finally, key question four. What  
13 are the harms and complications associated with  
14 RFA?

15 I will turn over the microphone to my  
16 colleague, Dr. Ip.

17 DR. IP: Good morning. I am Stanley

18 Ip, I'm the assistant director of the  
19 evidence-based practice center at Tufts Medical  
20 Center. I'm essentially a methodologist, I  
21 don't have any conflicts of interest, I  
22 actually trained as a pediatrician.

23 What we're going to tell you about is  
24 how we went about doing this review and I'm  
25 going to review for you our inclusion criteria.

00049

1 The population that we're interested in  
2 basically are adults only, we did not look at  
3 any children. Many of the studies included  
4 patients who have had more than one  
5 radiofrequency ablation, so we made a rule that  
6 80 percent or more of them have to be treated  
7 for the very first time for the study to be  
8 eligible. We did not consider patients with  
9 congenital heart disease, hypertrophic  
10 cardiomyopathy, or with Wolff-Parkinson-White  
11 syndrome.

12 The interventions of interest are  
13 specifically radiofrequency catheter ablation  
14 directed to the left atrium. They have to be  
15 explicitly targeting pulmonary veins. They may  
16 or may not use concurrent antiarrhythmic drugs.  
17 We only included ones who used eight-millimeter  
18 or irrigated tip catheters. And these could be  
19 either first-line treatment, i.e., patients  
20 that have not had any kind of treatment before,  
21 including antiarrhythmic drugs, or it could be  
22 second-line treatment, which in fact had failed  
23 previously.  
24 We did not look at studies that  
25 combined open cardiac surgery with

00050

1 radiofrequency ablation or AV node ablation or  
2 ablation for standalone atrial flutter. We  
3 specifically excluded cryoablation and  
4 microwave ablation.

5 The comparator would be any medical or  
6 surgical comparator.

7 The outcomes of interest are, they  
8 have to have at least six months of follow-up.

9 We will look at rhythm control, i.e., freedom  
10 from any atrial arrhythmia, including other  
11 kinds of atrial flutter, congestive heart  
12 failure, volume size changes, stroke, avoidance  
13 of anticoagulants, readmissions and  
14 reinterventions, and quality of life.

15 The adverse events that we are  
16 specifically interested in are symptomatic or  
17 severe pulmonary vein stenosis, any cardiac  
18 tamponade, any periprocedural stroke or  
19 transischemic attacks, any reported  
20 atrioesophageal fistula, peripheral vascular  
21 complication, 30-day mortality, and any length  
22 of follow-up.

23 Here are the study selections. In our  
24 systematic review utilizing MEDLINE and  
25 Cochrane databases, we essentially identified

00051

1 roughly about 3,000 abstracts. From about  
2 3,000 we retrieved possibly 400 full text  
3 reviews, and out of them 120 of them qualified  
4 for inclusion in our technology assessment.  
5 One of the primary things we do when doing a  
6 study is judge the quality of individual  
7 studies, and we used the Comparative  
8 Effectiveness Review Methods Guide as published  
9 in the AHRQ manual and we assigned one of three  
10 grades to each individual study, they're  
11 basically good, fair or poor. Basically the  
12 good study, we feel they have a low risk of  
13 bias, and a poor study could be a high risk of  
14 bias.  
15 In addition, we rated the strength of  
16 the body of evidence for each key question, and  
17 they are dependent on the number and the  
18 quality of primary studies, the duration of  
19 follow-up, and how consistent are the results  
20 reported across different studies. The ratings  
21 are as follows. If we rate a body of evidence  
22 as high, it means that we have high confidence  
23 that the evidence presented in fact reflects  
24 the true effect. If it's rated moderate, we  
25 have moderate confidence that the evidence

00052

1 reflects the true effect; however, if the  
2 evidence is rated moderate, it may change, the  
3 effect may change and the estimate may change.  
4 If we rate it as low, we have low confidence  
5 that the evidence reflects the true effect, and  
6 there's a high likelihood in the future that  
7 these estimates will change.  
8 We also put in a qualifier as  
9 insufficient, either the evidence is  
10 unavailable or does not permit an estimation of  
11 the true effect.  
12 In terms of radiofrequency ablation  
13 versus open surgery, we did not find any study.  
14 For radiofrequency ablation versus  
15 medical treatment, these are the outcomes which  
16 I have mentioned previously, which I will go  
17 through one by one. In terms of rhythm  
18 control, rhythm control I had mentioned  
19 previously, they basically have controlled  
20 sinus rhythm or they don't have any kind of  
21 atrial arrhythmia. There are actually a total  
22 of six randomized controlled trials randomizing  
23 RFA versus medical treatment, they all found  
24 significant benefits from RFA in terms of  
25 rhythm control. However, three of those RCTs

00053

1 included many many reablations, so we discarded  
2 them in our analysis.  
3 So our analysis of three randomized  
4 controlled trials are all second-line  
5 treatment, they only provided data for one  
6 ablation only, and they also provided 12-month  
7 follow-up data, and that analysis showed a  
8 relative benefit of radiofrequency ablation in  
9 maintaining sinus rhythm about three times  
10 lower than the patient who had medical  
11 treatment at 12 months.  
12 There was one single randomized trial  
13 that enrolled patients who had never had any  
14 kind of treatment, i.e., first-time treatment.  
15 That one showed at 12 months, 88 percent of  
16 patients who had the RFA had maintained sinus  
17 rhythm, versus 37 percent, and you can see  
18 that. So we rated the strength of evidence  
19 moderate for second-line therapy and  
20 insufficient for first-line treatment because  
21 there's only one study with a small sample  
22 size.  
23 For congestive heart failure, there  
24 was one retrospective observational study with  
25 a 30-month follow-up that found in the patients

00054

1 who had an RFA a decrease risk of congestive  
2 heart failure compared to those who were  
3 treated medically.  
4 For volume changes, there is one  
5 randomized controlled trial that essentially  
6 showed that there was no difference at 12  
7 months between left atrial diameter and  
8 ejection fraction between those two arms.  
9 For stroke rate, we did a  
10 meta-analysis of six randomized controlled  
11 trials. In this instance we used risk  
12 difference because the event rate is low and  
13 some of the studies reported a zero event rate,  
14 and it showed that there is a nonsignificant  
15 increase in risk with RFA, 0.6 percent. We  
16 rated the strength of evidence as low because  
17 the stroke event rate was not systematically  
18 assessed in these studies.  
19 For quality of life, there were three  
20 recognized clinical trials and one  
21 retrospective study that has looked at  
22 different components of the SF-36, and they  
23 found some significant increase in  
24 subcomponents of the SF-36. And we rated the  
25 body of evidence as low because there are quite

00055

1 a few methodological deficiencies in the  
2 primary studies.  
3 For avoidance of anticoagulants, there  
4 is one randomized controlled trial at 12 months  
5 and it showed that patients who receive RFA, 60  
6 percent of them avoided anticoagulants, versus  
7 34 percent, and we rated the strength of  
8 evidence low.  
9 For readmission, there were two  
10 randomized controlled trials. The first  
11 essentially found a significant difference.  
12 The second study, however, found that there  
13 were nine readmissions in the RFA arm versus 54  
14 percent in the medical arm. We rated it low  
15 because of incomplete study details.  
16 For question two, essentially we were  
17 examining patient and intervention  
18 characteristics to predict outcomes of RFA. We  
19 looked at the types of atrial fibrillation,  
20 paroxysmal versus non-paroxysmal. We looked at  
21 the left atrial diameter, the ejection  
22 fraction, the sex of the participants, age, and  
23 the operator experience and setting.  
24 For paroxysmal versus non-paroxysmal  
25 AF, there are a total of 17 studies that did

00056

1 have multivariable analysis. 11 of them found  
2 no statistically significant association  
3 between types of AF and recurrence, and six of  
4 them found that non-paroxysmal AF predicted  
5 higher recurrence. We actually -- this is  
6 incorrect at the bottom. We actually rated the  
7 strength of evidence, it's actually mostly  
8 multivariable analyses, so the strength of  
9 evidence we actually rated as moderate.  
10 The left atrial diameter ejection  
11 fraction, what we found was, some of this Dr.  
12 Rosenberg referred to and Dr. Packer mentioned  
13 earlier, most of these patients have  
14 essentially normal left atrial diameter or  
15 ejection fraction, they have a left atrial  
16 diameter less than 55 millimeters and an  
17 ejection fraction greater than 40 percent. So  
18 a minority of the studies found a significant  
19 association between increased left atrial  
20 diameter and increased atrial fibrillation  
21 recurrence. And also, five of the 17 studies  
22 found a significant association between  
23 decreased ejection fraction and increase in  
24 atrial fibrillation recurrence. And the  
25 strength of evidence is rated moderate, that

00057

1 the LAD or the ejection fraction are not  
2 independent predictors of atrial fibrillation  
3 recurrence.  
4 In terms of male versus female, there  
5 were 23 studies and none of them found an  
6 association with sex and AF recurrence, and the  
7 strength of evidence is rated high.  
8 In terms of age, the studies that we  
9 looked at mostly looked at younger patients,  
10 most of them varied between age 40 to 70, and  
11 in those studies they did not find an  
12 association between the age and AF recurrence.  
13 There is one lone study that found an  
14 association between older age and a decrease in  
15 AF recurrence.  
16 There is no study that directly  
17 addressed operator experience or setting on how  
18 it would affect the outcomes.  
19 Now I will turn it over to  
20 Dr. Garlitski to talk about the technical  
21 aspects.  
22 DR. GARLITSKI: Question three  
23 addressed different techniques, pulmonary vein  
24 isolation versus wide area circumferential  
25 ablation, otherwise termed WACA; RFA plus or

00058

1 minus an additional left or right-sided lesion  
2 sets, in particular left-sided lesion sets; the  
3 eight-millimeter versus the irrigated tip  
4 catheter; and different imaging techniques.  
5 First, WACA versus PVI, there were  
6 five randomized controlled trials of  
7 approximately 500 patients in general favoring  
8 WACA over PVI. However, we were unable to  
9 compare across the studies due to different  
10 follow-up periods, the permission of  
11 reablation, and the differences with  
12 antiarrhythmia drug use. The evidence is  
13 moderate.  
14 This forest plot is a depiction of  
15 those trials. On one side you will see that  
16 three trials clearly favor WACA, one trial  
17 favors pulmonary vein isolation. However, if  
18 one goes back and reviews the methods used in  
19 those three trials which favor WACA, there's  
20 further evidence that ablation was performed  
21 mapping specifically electrograms in the  
22 pulmonary veins.  
23 RFA with the addition of left-sided  
24 lines. The available evidence is six  
25 randomized controlled trials. There was no

00059

1 significant difference in two of the studies,  
2 decreased AF recurrence in four of them.  
3 There's insufficient data to state whether  
4 there is a difference with including left-sided  
5 lesion lines. There was marked heterogeneity  
6 in different types of left-sided lines, and  
7 reablation.  
8 In regard to the different tips used  
9 to deliver the radiofrequency energy, there  
10 were four randomized controlled trials. There  
11 was no significant difference in rhythm control  
12 in a six to 12-month follow-up period between  
13 these two catheter tips, and the strength of  
14 evidence is moderate.  
15 With regard to different imaging  
16 techniques, there were four unique comparisons  
17 in five studies. I will read them as follows:  
18 There's no difference in three fair quality  
19 RCTs or randomized controlled trials. What  
20 they looked at was 3-D mapping versus  
21 conventional fluoroscopy. They looked at CT  
22 integration versus CT registration, and CT  
23 integration versus 3-D mapping without CT  
24 integration.  
25 Differences were noted in two poor

00060

1 quality RCTs. Three-dimensional mapping was  
2 shown to be superior to conventional  
3 fluoroscopy, although no statistical  
4 comparisons were noted. CT registration was  
5 shown to be superior to conventional  
6 fluoroscopy although, again, no statistical  
7 comparisons were made. The strength of the  
8 evidence is insufficient.

9 In regard to harms and complications,  
10 there were 83 studies which reported one or  
11 greater events. There is no systematic  
12 reporting of the data on time of occurrence of  
13 these complications, with the exception of  
14 pulmonary vein stenosis, which was reported at  
15 three months. Strength of evidence is low and  
16 that is due to nonuniform definitions and  
17 nonsystematic reporting.

18 Major adverse events are as follows:

19 Pulmonary vein stenosis was noted from zero to  
20 19 percent of the time. Cardiac tamponade from  
21 zero to five percent. Stroke or TIA at zero to  
22 seven percent. Atrioesophageal fistula, .07 to  
23 1.2 percent. Of the 63 studies reviewed for  
24 adverse events, five deaths were noted. Of  
25 note in respect to those five deaths, it is

00061

1 possible that there may be duplicate data.  
2 In summary, there is a moderate level  
3 of evidence that RFA is effective as a  
4 second-line therapy with a follow-up of 12  
5 months. There's insufficient data on  
6 first-line therapy. Major clinical  
7 complications are less than five percent of the  
8 time, but the reporting was not systematic. We  
9 need more data on the elderly, patients with  
10 multiple comorbidities, long-term, in other  
11 words years of follow-up, effect of radiation,  
12 quality of life and mortality. Thank you.

13 DR. C. GOODMAN: Thank you very much,  
14 Dr. Garlitski and Dr. Ip, an impressive  
15 compilation of evidence, thank you very much.  
16 Do we have questions from the panel  
17 now? We have leading up to pretty close to  
18 ten o'clock to take a look at the technology  
19 assessment, any questions or concerns that you  
20 have at this point. Questions by any of the  
21 panel for this? Yes, Dr. Carlson.

22 DR. CARLSON: Were you able to, when  
23 you looked at complications, morbidity and  
24 mortality, were you able to say anything about  
25 whether the incidence of those events remained

00062

1 persistent over time, or was there enough  
2 evidence to be able to say that? In other  
3 words, is the rate today the same as it was ten  
4 years ago?

5 DR. IP: We were not able to do this  
6 analysis because we didn't do a time analysis.  
7 And the trouble with it, many of the studies,  
8 they are like published from the same centers  
9 but have a different number of denominators, so  
10 we have no idea how they go about reporting  
11 each study.

12 DR. CARLSON: One other question is  
13 regarding left atrial dimensions, ejection  
14 fractions, and some of the evidence from these  
15 studies, counter to what we commonly teach and  
16 understand, is that there is a relationship  
17 between left atrial size and occurrence and  
18 recurrence of atrial fibrillation, and the same  
19 for heart failure. Is that because of the  
20 population in these studies and you're not  
21 seeing the complete spectrum perhaps of atrial  
22 fibrillation?

23 DR. IP: That's what our clinical  
24 cardiologist is telling us. But when we look  
25 at the studies, in fact the patients enrolled

00063

1 are not very sick and quite younger, and they  
2 don't have much to offer.

3 DR. GARLITSKI: Just to add a comment,  
4 if you recall the slide where the mean atrial  
5 diameter and ejection fraction was, you will  
6 see that they're not far from normal, so there  
7 isn't that variance that has been studied as of  
8 yet.

9 DR. CARLSON: Thanks.

10 DR. C. GOODMAN: Yes, Dr. Dullum?

11 DR. DULLUM: Thank you for your  
12 review. Actually, the written review is  
13 excellent. Discussing the rhythm control  
14 therapy, there's a moderate level of evidence  
15 for the second line. I wasn't clear in my mind  
16 from reading the information that this is the  
17 ablation solely and not ablation plus the  
18 medical therapy. Are you making that remark,  
19 like saying that all you have is ablation, and  
20 there are no more medical therapies?

21 DR. IP: That's not entirely true  
22 because most of the studies, the way they did  
23 the studies, they said we will give you the  
24 radiofrequency ablation, but then we'll put you  
25 on the antiarrhythmia drugs for like three

00064

1 months afterwards, and so some studies did  
2 that, some studies did not do that. So, you  
3 know, we cannot say.

4 DR. DULLUM: I mean, I'm concerned  
5 that there was no evaluation based on surgical  
6 therapy, but let's put that aside. It was very  
7 confusing to me what exactly they were talking  
8 about because everything was sort of mixed, and  
9 that's what I finally came away with from all  
10 of this, that there's no clear one line of  
11 treatment to say yes, this is the thing to do.

12 DR. IP: Which, I agree, because when  
13 I reviewed these studies, if you look at the  
14 details, some of them, especially the second  
15 line, you would have some other treatment at  
16 some point. But then the ones that we  
17 included, at least at 12 months when they did  
18 the evaluation at 12 months, they were off  
19 antiarrhythmic therapy at that point.

20 DR. DULLUM: And anticoagulation, or  
21 no?

22 DR. IP: I don't know that off the top  
23 of my head.

24 DR. C. GOODMAN: Further questions?  
25 Yes, Dr. Packer?

00065

1 DR. PACKER: One of the issues in a  
2 number of the trials that you talked about,  
3 they looked at the time to first recurrence.  
4 Is that the right way to be looking at this,  
5 because does time to first recurrence really  
6 give us a notice as to the value of the  
7 therapy, whether that's on the drug side or  
8 whether that's on the ablation side?

9 DR. C. GOODMAN: Did you investigate  
10 that, Dr. Garlitski or Dr. Ip?

11 DR. IP: No. We just took the studies  
12 as they were; we didn't say that was the right  
13 way or not the right way to look at it.

14 DR. C. GOODMAN: Dr. Garlitski?

15 DR. GARLITSKI: Yes. When the results  
16 were looked at, it was generally, or not  
17 generally, it was reported as freedom of AF at  
18 a certain time point. So again, the  
19 longer-term follow-up was what was taken, not  
20 necessarily the first recurrence. It was  
21 freedom from atrial fibrillation as defined by  
22 that trial and that author of that study.

23 DR. C. GOODMAN: Thank you. I think  
24 Dr. Umscheid was next.

25 DR. UMSCHEID: I also appreciate the

00066

1 very concise review of the trials that you  
2 covered. I had two quick questions, and  
3 they're both about your grading of the  
4 evidence.

5 For the issue around age, you said  
6 that you had essentially a high certainty that  
7 there was no impact of age on recurrence, but  
8 the window was very narrow.

9 DR. IP: That's correct, with a  
10 qualifying statement that most of the patients  
11 that we looked at, off the top of my head,  
12 their mean age was only 50 to 55.

13 DR. UMSCHIED: So if you had to take  
14 one more step and say age in general and not  
15 that narrow a window, it sounds like your level  
16 of certainty would be much lower about the  
17 impact of age.

18 DR. GARLITSKI: The data that we have  
19 from this review is a mean age of 55, so we  
20 can't comment on a mean age that would be  
21 higher.

22 DR. UMSCHIED: And I have a similar  
23 comment about EF. You said you had a moderate  
24 certainty that EF did in fact recur, and I  
25 assume that was within the narrow range of EF.

00067

1 DR. IP: Right, you are totally  
2 correct. We should modify that to say it's  
3 with moderate to high certainty, but it's  
4 within this narrow range.

5 DR. UMSCHIED: So it might be a low  
6 certainty or insufficient certainty if you were  
7 looking at all ranges of EF.

8 DR. IP: If that's the question, yes.

9 DR. UMSCHIED: Because that would  
10 probably be the most important question for  
11 this panel, whether EF impacts recurrence, you  
12 know, what a clinician would care about, you  
13 know, does the low EF impact recurrence of AF,  
14 or if not, what is the range of normal, you  
15 know, or does some variant have more impact.

16 DR. C. GOODMAN: Dr. Umscheid, I want  
17 to make sure we don't miss this point. What  
18 should we infer and conclude from the questions  
19 you asked and the answers you just received?

20 DR. UMSCHIED: The reason I bring  
21 those points up is because I feel like the  
22 levels achieved were too high given the  
23 evidence, so if there is no evidence about the  
24 impact of a wide range of EFs on recurrence of  
25 AFib, then I think we should be able to say

00068

1 it's insufficient evidence or low, but not  
2 necessarily a moderate. And the same with age,  
3 if all the studies are looking at a narrow age  
4 range, then they're not looking at a broad age  
5 range, and I don't think we can have a high  
6 level of certainty about the impact of age in  
7 general on recurrence.

8 DR. C. GOODMAN: So the difference  
9 between the level of certainty regarding the  
10 evidence as presented with regard to age versus  
11 perhaps a broader scope of age with which we  
12 might be concerned, correct?

13 DR. UMSCHIED: Yeah. My  
14 interpretation is that when people talk about  
15 the impact of EF and age, these different  
16 variables on recurrence, they're envisioning a  
17 broad range from low to normal EF, or from  
18 young to old, not a narrow range of these  
19 variables.

20 DR. C. GOODMAN: Okay, thank you, a  
21 point well made. Dr. Satya-Murti is next.

22 DR. SATYA-MURTI: Your written  
23 treatise explains this much better, I think,  
24 and I append it to Dr. Packer's question. So  
25 the moderate level of confidence in the first

00069

1 bullet point, the effectiveness is detection of  
2 the recurrence of atrial fib. So undetected,  
3 either asleep or at other times, they might  
4 have had a spontaneously converting event;  
5 isn't that correct?

6 DR. GARLITSKI: Certainly, they may  
7 have undetected, absolutely. The issue is that  
8 the studies reported detection of it in  
9 variable ways. There were different methods  
10 used in the studies to detect either  
11 symptomatic or asymptomatic atrial  
12 fibrillation.

13 DR. SATYA-MURTI: And in any of your  
14 reviews, were you able to find out the  
15 contribution of the rhythm abnormality to the  
16 overall morbidity of the patient? Because many  
17 of them have had other morbidities too, so what  
18 contribution did the rhythm abnormality alone  
19 make towards the patient getting ill or well?  
20 It's not known, isn't that correct?

21 DR. GARLITSKI: That would have to be  
22 presumptive. What we have is what the data  
23 told us, that at a certain time the  
24 investigators looked for the atrial  
25 fibrillation. Some stated that it was

00070

1 asymptomatic, some stated that it was  
2 symptomatic, and they didn't comment on what  
3 the other comorbidities may have been  
4 contributing. We don't know that.

5 DR. C. GOODMAN: Thank you.

6 Dr. Hammill is next.

7 DR. HAMMILL: Not necessarily a  
8 question, just a comment, because when you put  
9 up the adverse effects or adverse outcomes, you  
10 had broad ranges, and we've seen that  
11 throughout the literature. But I think it's  
12 important to focus in on the median, because  
13 it's much lower and closer. For example in  
14 pulmonary vein stenosis, the median of .3 and  
15 the stroke is I think .9 or .7, and I think  
16 that's a better way of representing that data.

17 DR. IP: It's difficult to state all  
18 of these variables. Some have very few  
19 subjects, some have more subjects.

20 DR. C. GOODMAN: Thank you.

21 Dr. Moscucci's next.

22 DR. MOSCUCCI: I first want to make a  
23 comment concerning age and ejection fractions,  
24 and also about atrial size. Most of the  
25 studies look at a very narrow range of size,

00071

1 particularly with a size of 38 or 39  
2 millimeters, we cannot necessarily extrapolate  
3 that to a larger patient population.

4 The question that I have is whether in  
5 your systematic review you run across any  
6 relationship between volume and outcome, a  
7 learning curve with respect to number of  
8 adverse events and how those relate to  
9 outcomes.

10 DR. IP: No, we did not come across  
11 those things.

12 DR. GARLITSKI: In regard to the  
13 studies, whether in the literature or not,  
14 those studies clearly did not meet the  
15 inclusion criteria, which we used when we  
16 screened the abstracts.

17 DR. C. GOODMAN: Dr. Maisel is next.

18 DR. MAISEL: I just wanted to make one  
19 observation regarding the issue of age, which  
20 is obviously a very important one with respect  
21 to the Medicare populations. I think we need  
22 to be careful about focusing too much on the  
23 mean age, because the standard deviations of  
24 age in most of those studies was in the eight  
25 to ten-year range, so there certainly were a

00072

1 lot of older patients that were included in  
2 some of those studies, and perhaps if you could  
3 comment on that.

4 DR. IP: Yeah. We didn't really  
5 specify them into subgroups but as you have  
6 noticed, I said most of the patients were  
7 between 40 and 70, so we don't really know how  
8 many were over 65, there could be quite a few.

9 DR. MAISEL: Well, I was just looking  
10 through the studies, and for example while the  
11 mean age in most of them is in the 50s, the  
12 standard deviation is in the nine to ten-year  
13 range, so that could imply that there are a  
14 substantial number of patients that are in  
15 their 60s or older, based on what I know about  
16 bell curves.

17 DR. GARLITSKI: I think because the  
18 study, not every study noted how many of those  
19 patients were at what age, we're not able to  
20 extrapolate and say how many were in the  
21 Medicare age range. But yes, they were  
22 studied, we just can't state definitely how  
23 many.

24 DR. C. GOODMAN: Thank you.

25 Dr. Garlitski, could you return to your summary

00073

1 slide? Your first bullet with regard to  
2 moderate level of evidence that RFA is  
3 effective as a second-line therapy, I just want  
4 to make sure I understand. When you say  
5 effective there, the only place you found  
6 something effective was not on outcomes, but it  
7 was rhythm and control. So when you say  
8 effective, you mean effective for rhythm  
9 control; is that correct?

10 DR. GARLITSKI: Yes. It is for  
11 recurrence of atrial fibrillation with rhythm  
12 control as an outcome, not other outcomes.

13 DR. C. GOODMAN: And one might state  
14 that rhythm control might be considered, if I  
15 might say an intermediate outcome, maybe a  
16 surrogate?

17 DR. GARLITSKI: Correct. If you look  
18 at the analytic framework from the beginning,  
19 our patient population are those with AFib.  
20 Our goal here was to determine whether  
21 effective or not, sinus rhythm, and we can't  
22 comment on the clinical outcomes.

23 DR. C. GOODMAN: Thank you. So that  
24 must be why in your fourth and final bullet of  
25 your summary slide, you talk about the need for

00074

1 more data on the elderly patients and so forth,  
2 long-term rates of not just AF recurrence, but  
3 effects from radiation exposure, quality of  
4 life and mortality. So that must be in part  
5 the evidence gap that you perceive, correct?

6 DR. GARLITSKI: Correct, yes.

7 DR. C. GOODMAN: So if we care, and we  
8 know that Medicare cares, and people need  
9 clinical evidence directed toward really  
10 healthcare outcomes, we didn't really, you  
11 haven't gleaned a lot about real primary  
12 endpoints from the available body of evidence.  
13 Is that correct?

14 DR. GARLITSKI: That's correct, it  
15 would have to be extrapolated. The  
16 effectiveness is not an AFib recurrence.

17 DR. C. GOODMAN: And then another  
18 question, and I'm not sure you addressed this  
19 directly, and in addition I hope that our panel  
20 can enlighten me and us. Can you summarize,  
21 what is the known relationship between sinus  
22 rhythm and the primary endpoint about which we  
23 care the most?

24 DR. GARLITSKI: I think I may try to  
25 summarize that for you, but I think in fact

00075

1 Dr. Rosenberg in some ways addressed those  
2 points. AFFIRM certainly made an attempt to  
3 address or link, and I certainly think some of  
4 my colleagues here may be able to speak more  
5 articulately, but AFFIRM is much of the data  
6 that we have that made an attempt at least to  
7 link sinus rhythm.

8 DR. GOODMAN: To detect an association  
9 that exists, and in your review you found what?

10 DR. GARLITSKI: This is, what we have  
11 is what I presented with regard to outcomes.  
12 Anything else that I would say would be an  
13 extrapolation or not reflective of this data  
14 here.

15 DR. C. GOODMAN: Thank you.

16 Dr. Moscucci, could you enlighten us, or at  
17 least me?

18 DR. MOSCUCCI: I think in relation to  
19 the issue of recurrence, there have been other  
20 comments here. Most of the studies look at  
21 recurrence after one year, and I think we would  
22 like to obtain additional data beyond just one  
23 year.

24 DR. IP: Yeah, the longest we have is  
25 12 months, and there are some studies that have

00076

1 like 15 or 16 months, but it's generally only  
2 12-month data.  
3 Dr. GARLITSKI: Again, that is not to  
4 say that there is nothing in the literature  
5 with respect to that. We used very strict  
6 criteria to try to get the highest quality of  
7 evidence here in this review, so there may be  
8 other trials or cohort studies that address  
9 longer term, but none that met our criteria for  
10 inclusion.

11 DR. C. GOODMAN: Thank you.

12 Dr. Packer, were you going to venture an answer  
13 to my earlier question?

14 DR. PACKER: Yes. So this is a  
15 critical question, whether or not sinus rhythm  
16 is good for you. Part of that would depend on  
17 what you choose as your definition of good. If  
18 you look at ablation studies, they haven't been  
19 of sufficient size or conducted over long  
20 enough periods of time to say anything about  
21 mortality or some of those kinds of key  
22 endpoints, which is the reason for doing the  
23 data.

24 But if you go back to AFFIRM, and if  
25 you look at AFFIRM data, albeit looking back at

00077

1 it in kind of a post hoc analysis, and Yves  
2 showed this, one of the most important  
3 predictors was sinus rhythm. If you look at  
4 the (inaudible) whether you're looking in the  
5 treatment group or not, the patients who were  
6 in the sinus rhythm did better. So why is it  
7 so difficult, then, for a study like AFFIRM in  
8 its primary analysis, for instance AF/CHF in  
9 its primary analysis, to demonstrate a  
10 difference between those? And part of it is  
11 those studies were really looking at a  
12 treatment rather than a state, like sinus  
13 rhythm, so I think that's an important  
14 consideration.

15 The other thing is at the end of the  
16 day, whether you're talking about ablation or  
17 drug therapy or anything else, at the end of  
18 the day AFib may not be a risk factor for very  
19 very morbid events, or for them, or it just  
20 might be that it's a risk marker, or it may be  
21 that there really is benefit to sinus rhythm  
22 created by antiarrhythmic drugs but it's masked  
23 by the presence of therapies that are  
24 inherently toxic. It also may be that in the  
25 way these individuals in different trials look

00078

1 at endpoints, there may be a lot of sinus  
2 rhythm in the patients who appear to have  
3 ongoing atrial fibrillation, so they get  
4 categorized as being AFib failures. Or  
5 conversely, in the efficacy group it may flip  
6 the other way, so you may not have, in your  
7 determination of atrial fibrillation presence  
8 or not, you may not have a sufficient gradient  
9 to detect. So that bears on the issue of how  
10 hard do you look and how hard do the trials  
11 look to identify who really has fib and who  
12 really doesn't.

13 So I think that it's tricky, and  
14 there's some issues here that have to do with  
15 the consequences of therapy, the side effects  
16 of therapy that might mask a lot of the  
17 fundamental good, so hopefully that can be  
18 looked at closer in order to answer your  
19 question.

20 DR. GOODMAN: Thank you. If I may  
21 pick on a couple of our panelists, Ms. Atkinson  
22 as our designated patient advocate here today,  
23 do you have any views so far on how this  
24 intervention might affect outcomes that matter  
25 to patients?

00079

1 MS. ATKINSON: Well, the biggest  
2 concern I have in reading all of this  
3 literature and listening is the fact that the  
4 median age is about 55 plus or minus ten years,  
5 so you're still not looking at the very frail  
6 who often do have multiple comorbidities, and  
7 that's where the studies have failed to prove  
8 that this is good therapy.

9 DR. C. GOODMAN: Thank you. Other  
10 comments or questions? Dr. Umscheid, please.

11 DR. UMSCHIED: One other thing that  
12 struck me as somebody who practices hospital  
13 medicine for a small amount of my time, we  
14 usually focus on rate control and stroke  
15 prevention on patients who come in. And  
16 obviously most of the literature here is about  
17 rhythm control and I was surprised that there  
18 were very few, if any, actually I don't  
19 remember any trials that look at catheter  
20 ablation versus rate control and some of the  
21 important outcomes we care about, like stroke,  
22 mortality, heart failure, readmission. Can you  
23 comment on that?

24 DR. IP: Well, all I can say is rate  
25 control wasn't really in the analytic framework

00080

1 so we did not really examine that particular  
2 factor.

3 DR. GARLITSKI: Because the goal of  
4 catheter ablation is clearly rhythm control.  
5 Whether it's achieved or not is a good  
6 question, but the goal of catheter ablation is  
7 to maintain sinus rhythm, so the most  
8 appropriate comparators would then be another  
9 technique or medication which also serves to  
10 maintain rhythm control, in that case  
11 medications for those kinds of patients.

12 DR. UMSCHIED: Okay. Because what I  
13 might argue from a patient perspective is that  
14 if you have AFib, what you care about is not  
15 being symptomatic from it, so not having a  
16 rapid heart rate, not having heart failure and  
17 not being readmitted, not having a stroke. And  
18 so my guess would be that most patients don't  
19 care for the label of sinus rhythm or AFib as  
20 long as they feel well and they're out of the  
21 hospital.

22 DR. GARLITSKI: Certainly a reasonable  
23 comment. Our data simply does not address  
24 catheter ablation versus rate control.

25 DR. GOODMAN: Thank you. Dr. Dullum

00081

1 is next.

2 DR. DULLUM: Just a follow-up on the  
3 age issue in Dr. Rosenberg's presentation where  
4 it talked about as you get older you have a  
5 higher risk of stroke, et cetera, et cetera,  
6 but we know that that's going to happen with  
7 what, as Dr. Moscucci said, you have more  
8 multiple comorbidities as you get older, your  
9 incidence of stroke is higher. Do we really  
10 know that the AFib was causing it and the  
11 ablation is going to fix that? And Coumadin,  
12 we kind of talked about Coumadin, but when  
13 you're looking at the stroke events, are they  
14 responsible for preventing your stroke or  
15 hemorrhages. So to me, again, I'm not sure, is  
16 it just the rhythm, or they have the  
17 comorbidities, so we're doing this  
18 instrumentation and not really improving their  
19 outcomes?

20 DR. GARLITSKI: I mean, it's a very  
21 true statement, a very important point, the  
22 fact that many patients in the Medicare  
23 population do have comorbidities. Given the  
24 data that we have with what we were able to go  
25 through, it's extremely difficult to sift out

00082

1 unless the data explicitly states that they're  
2 symptomatic or their clinical data is directly  
3 related to AFib.

4 DR. C. GOODMAN: Thank you.

5 Dr. Satya-Murti is next, then Dr. Hammill, and  
6 we're going to have to see if we can just fit  
7 these two questions in before ten.

8 Dr. Satya-Murti.

9 DR. SATYA-MURTI: Those who did not do  
10 well with rhythm control, it makes me think if  
11 rhythm control is actually protective in a  
12 subpopulation of patients. It may be a  
13 protective measure in some patients that are  
14 trying to compensate for yet undetermined  
15 reasons, and is rhythm control necessarily  
16 determinative of improvement in those cases  
17 where they didn't do so well?

18 DR. GARLITSKI: Again, a very  
19 important point, I think we would all like to  
20 know the answer to that. What we have here is  
21 that, again, outcomes were the effectiveness of  
22 maintaining sinus rhythm by catheter ablation,  
23 so I can't speak to that based on this data.

24 DR. C. GOODMAN: Dr. Hammill.

25 DR. HAMMILL: Just a brief comment on

00083

1 what was brought up earlier about looking at  
2 the rate control and comparing that to catheter  
3 ablation. When you looked at the multiple  
4 studies that have been done to assess rate  
5 control versus rhythm control, AFFIRM, RACE,  
6 PIAF, those studies only entered patients who  
7 tolerated a rate control strategy, and we've  
8 all experienced many patients who even though  
9 their rate control is good, for one reason or  
10 another they don't tolerate atrial  
11 fibrillation, and it's those patients who move  
12 on to catheter ablation. So the ablation  
13 strategy has primarily been done as a symptom  
14 relief, not necessarily looking at the other  
15 endpoints as an issue.

16 DR. C. GOODMAN: Thank you. Ms.  
17 Atkinson, we do have time if you have another  
18 comment.

19 MS. ATKINSON: I just wanted to  
20 comment, I didn't see in a lot of the data as  
21 far as medication compliance with a lot of the  
22 antiarrhythmics. I think I saw one study that  
23 actually looked at the reason older adults  
24 failed was simply because they couldn't  
25 tolerate the side effects so they stopped the

00084

1 medications. Can you comment on that?

2 DR. IP: I mean, that's correct. We  
3 did not look for medication compliance data  
4 per se.

5 DR. C. GOODMAN: Thank you. Yes, Dr.  
6 Calega?

7 DR. CALEGA: Can you comment on the  
8 rates of complications, or reported  
9 complications and the fact that these were done  
10 at academic centers? Is there some commentary  
11 that there might be underreported complications  
12 for these procedures?

13 DR. GARLITSKI: So, to give an actual  
14 rate is very difficult based on this data, and  
15 the problem with that is it's not clear if some  
16 of the studies have the same subset of  
17 population, so you have, if you have two  
18 different studies, you can't just total all the  
19 patients and say one in 500, just making up a  
20 number. So it is not, unfortunately, possible  
21 to give you a rate, which is why we stated the  
22 range rather than to give you a rate, because  
23 it is difficult to assess the denominator.

24 DR. C. GOODMAN: A closing question.  
25 In your technology assessment you had a Table

00085

1 A, which was the summary of reviewed studies.  
2 Could you turn to that? And so, Dr. Garlitski  
3 and Dr. Ip, you're at the Tufts Evidence-Based  
4 Practice Center, which has been an EPC for  
5 quite some time now, a lot of studies, a lot of  
6 evidence appraisals. Take us to 30,000 feet,  
7 let's look at Table A. Does Table A look  
8 like --

9 (Discussion concerning audiovisual.)

10 DR. GARLITSKI: Please go ahead.

11 DR. C. GOODMAN: It has a summary of  
12 the studies by study type, number of studies,  
13 quality of studies, number of patients. I  
14 don't know that it's in your slide  
15 presentation.

16 DR. GARLITSKI: It is not in our slide  
17 presentation.

18 DR. C. GOODMAN: So then, it's being  
19 handed to you now. And in looking at the  
20 number of studies by quality, good, fair and  
21 poor, does this look like an overall strong  
22 body of evidence to you, vis-a-vis the evidence  
23 appraisals that you've done in the past?

24 DR. GARLITSKI: I will let Dr. Ip  
25 answer that question.

00086

1 DR. IP: We don't make comments on  
2 overall quality of evidence.

3 DR. C. GOODMAN: That's a judicious  
4 response.

5 I think with that, it is now exactly  
6 ten o'clock. We're going to take a 15-minute  
7 break. I want to thank, again, the team from  
8 the Tufts EPC, Drs. Garlitski and Ip, and  
9 Dr. Rosenberg before that. We will see you at  
10 10:15. Thank you.

11 (Recess.)

12 DR. C. GOODMAN: We're now going to  
13 hear from our scheduled public comments, and  
14 I'm told that our speakers will have seven  
15 minutes per. We will do our best to stick to  
16 that, and I will give you approximately a  
17 two-minute warning.

18 First up is Dr. David Wilber from  
19 Loyola. Welcome, Dr. Wilber.

20 DR. WILBER: Thank you. I would like  
21 to thank the panel for being invited to talk to  
22 you today. I represent the atrial fib  
23 Thermocool investigators, and I would like to  
24 present to you in the next few minutes at least  
25 a summary of a clinical trial that hasn't been

00087

1 in the previous data. It has been presented at  
2 the Heart Rhythm Society. The study was  
3 completed in January of 2009 and is being  
4 submitted and evaluated for publication, but  
5 they have not made their final decision as of  
6 yet. We think this study does make an addition  
7 to some of the questions that have been raised  
8 this morning and so hopefully this will provide  
9 you some additional data.

10 The study was sponsored by Biosense  
11 Webster, and I have been a consultant and  
12 investigator for Biosense Webster, and these  
13 are the remaining other disclosures that I  
14 have.

15 So, this was a prospective multicenter  
16 trial that was comparing catheter ablation to  
17 antiarrhythmic drug therapy for treatment of  
18 symptomatic paroxysmal atrial fibrillation that  
19 was refractory to at least one antiarrhythmic  
20 drug. It was conducted in 19 centers. The  
21 study had a Bayesian adaptive sample size with  
22 a preplanned incremental analysis, and was  
23 sufficiently powered to detect at least a 25  
24 percent absolute difference between the  
25 treatment for symptomatic AF recurrence by

00088

1 either catheter ablation or antiarrhythmic drug  
2 therapy. And there was a series of planned  
3 stopping rules depending on the outcomes of the  
4 interim analysis.  
5 These are the enrolling sites and  
6 investigators.  
7 The enrollment criteria was  
8 symptomatic atrial fibrillation, at least three  
9 episodes, one being documents in the six months  
10 prior to randomization, and prior failure of at  
11 least one antiarrhythmic drug, which was true  
12 in about 85 percent of the patients, or one AVN  
13 blocker, such as a beta blocker or calcium  
14 channel blocker, which was the other 15  
15 percent.  
16 There were a variety of exclusion  
17 criteria that you can see here.  
18 Patients were randomized in a  
19 two-to-one fashion to catheter ablation or drug  
20 therapy, and then there was a nine-month  
21 efficacy evaluation period following a  
22 three-month blanking period in the ablation  
23 group and following a 14-day dose titration  
24 period in the drug group. There was intense  
25 transtelephonic monitoring throughout the study

00089

1 managed and adjudicated by an independent core  
2 laboratory. There were scheduled transmissions  
3 weekly for the initial eight weeks and then  
4 monthly thereafter, with added transmissions  
5 during all symptomatic episodes.  
6 A quality of life assessment was an  
7 important part of the study. It was done at  
8 baseline, and three, six and nine months of the  
9 efficacy evaluation period, and this included  
10 the SF-36, which is a standard quality of life  
11 questionnaire, as well as an atrial  
12 fibrillation symptom checklist. There was also  
13 a CT/MRI at baseline, three months and 12  
14 months in the ablation group, which addressed  
15 the issue of pulmonary vein stenosis.  
16 There were a variety of endpoints.  
17 The primary endpoint was the protocol defined  
18 success, which was freedom from documented  
19 symptomatic atrial fibrillation during  
20 completion of the nine-month efficacy  
21 evaluation period, and then in addition to that  
22 and irrespective of atrial arrhythmia  
23 recurrence, there needed to be acute procedural  
24 success, i.e., entrance blocking for pulmonary  
25 veins in the ablation group. No additional

00090

1 ablation beyond 80 days, and then no new drug  
2 therapy that impacted AFib during follow-up,  
3 which included both class I and III drugs, and  
4 also ACE/ARB, calcium channel blockers and beta  
5 blockers.

6 Then secondary outcomes included  
7 freedom from any documented symptomatic atrial  
8 arrhythmia, including AT flutter, freedom from  
9 documented recurrent atrial arrhythmia, either  
10 symptomatic or asymptomatic, and the quality of  
11 life data.

12 Patients that are selected for  
13 ablation have the Thermocool catheter and Carto  
14 electroanatomical mapping. All patients  
15 receive circumferential pulmonary vein  
16 isolation and then also receive optional  
17 lesions at the investigator's discretion, which  
18 is cardioelectrogram fractionation, left atrium  
19 linear lesions, and ablation of other  
20 non-pulmonary vein foci that initiate atrial  
21 fibrillation. And repeat ablation is permitted  
22 if performed within the first 80 days of  
23 blanking period.

24 There were more than 5,000 patients  
25 screened and of that, 4,500 or more did not

00091

1 meet inclusion/exclusion criteria, another 671  
2 refused participation, so the study included  
3 167 patients which was three percent of  
4 screened patients, and they were randomized  
5 with 106 ablation and 61 antiarrhythmic drugs.  
6 The trial started in October 2004, and  
7 subsequently seven patients were excluded and  
8 one patient was discontinued. These were  
9 predominantly to withdrawal of the patient after  
10 initial randomization. Efficacy was based on  
11 159 patients and at the first planned interim  
12 analysis, the study already met the stopping  
13 rules for success of ablation therapy, and the  
14 present analysis was based on the final data  
15 set as of January 2009.

16 DR. C. GOODMAN: Two minutes, Doctor.  
17 DR. WILBER: Okay. I'm going to  
18 briefly go over the outcomes. Of the protocol  
19 defined success at the end of the follow-up  
20 period, there were 66 percent who were being  
21 treated for atrial fibrillation in the ablation  
22 group compared to 16 percent in the drug group.  
23 If you look at symptomatic atrial arrhythmias  
24 it was 70 percent versus 19 percent ablation  
25 versus drugs. And if you look at total atrial

00092

1 arrhythmias, both symptomatic and asymptomatic,  
2 63 percent of the ablation and 17 percent of  
3 the drugs at the one-year follow-up remained  
4 free of any atrial fibrillation recurrence.  
5 If one looked at the predictors of  
6 total atrial arrhythmia recurrence, the only  
7 thing that was a significant predictor was the  
8 treatment by catheter ablation and having  
9 included both clinical variables and  
10 randomization group, and at the specific  
11 centers the hazard ratio was 0.29 in favor of  
12 catheter ablation.

13 Quality of life studies with the  
14 SF-36, as you can see, substantial improvements  
15 in quality of life, both mental and physical  
16 components, with little change in patients with  
17 drug therapy. Similarly, symptom frequency was  
18 substantially reduced in the ablation group but  
19 little reduced in the drug treated group.

20 And major adverse events was similar  
21 in terms of numbers between the ablation group,  
22 five percent, and the antiarrhythmic drug  
23 group, nine percent.

24 So overall, we concluded that in  
25 patients with symptomatic atrial fibrillation

00093

1 nonresponsive to prior therapy, that ablation  
2 was associated with greater freedom from  
3 symptomatic atrial arrhythmia recurrence,  
4 greater freedom from any atrial arrhythmia  
5 recurrence, better quality of life, a very low  
6 risk of major adverse events associated with  
7 ablation. And the strengths of the study, it  
8 was a multicenter trial, unlike some of the  
9 other ones, that included more than 19 centers,  
10 all experienced in catheter ablation. It was  
11 rigorously adjudicated, with extensive external  
12 monitoring and audits of clinical data and  
13 outcomes. Thank you for the opportunity to  
14 speak.

15 DR. C. GOODMAN: Thank you very much,  
16 Dr. Wilber, for an efficient information  
17 transmission. I do wish we had more time but  
18 we will have some time later on for questions,  
19 so we're glad that you're here. Thank you very  
20 much.

21 Next is Dr. Stanton, from Medtronic.  
22 Welcome.

23 DR. STANTON: Thank you, and I  
24 appreciate the opportunity to speak today. I'm  
25 Marshall Stanton, vice president of clinical

00094

1 research and reimbursement at Medtronic.  
2 Medtronic has a couple of different  
3 technologies that are under development for the  
4 treatment of atrial fibrillation, and my  
5 obvious conflict is that I'm an employee of  
6 Medtronic. Prior to joining Medtronic I was a  
7 cardioelectrophysiologist practicing at Mayo  
8 Clinic, and my previous boss is on the panel.  
9 I don't know if that's full disclosure or too  
10 much information.  
11 My purpose is, in looking at the  
12 evidence that you're looking at today, I wanted  
13 to make sure that you're aware of two trials  
14 that are ongoing, the results are not out yet,  
15 but they will be relatively shortly.  
16 As you continue your deliberations  
17 today, I think one of the things that's  
18 important to keep in mind is that with any  
19 therapy, there is always a growing body of  
20 evidence, whether it's a therapy that has just  
21 begun, whether it's one that is in its early,  
22 mid, more mature evolution, but at no point in  
23 time can I recall ever seeing a therapy that  
24 all the questions were answered and we no  
25 longer had a need to do any further clinical

00095

1 studies, so I just ask you to keep that in  
2 mind.  
3 The two different clinical trials  
4 we're going to talk about used two different  
5 approaches for the ablation of atrial  
6 fibrillation. One uses cryoablation, cryo is a  
7 freezing or temperature lowering technology,  
8 and the other uses radiofrequency ablation.  
9 So the first, you can see here on the  
10 right, is a balloon that is based inside the  
11 pulmonary vein just at the antrum of the  
12 pulmonary vein. It occludes the vein and cryo,  
13 the freezing is applied, which causes the  
14 ablation of the pulmonary vein area. This  
15 technology is approved outside of the United  
16 States. As I mentioned, it is undergoing an  
17 IDE study right now that is aimed at looking at  
18 patients who have paroxysmal atrial  
19 fibrillation.  
20 So, this trial is known as STOP AF.  
21 It is currently underway in the United States.  
22 There's 23 U.S. centers, three Canadian  
23 centers. It's a prospective randomized trial,  
24 245 patients, looking at cryoablation using  
25 this technology compared with rhythm control

00096

1 using antiarrhythmic drugs. As I mentioned, it  
2 isolates the pulmonary veins as its approach to  
3 the therapy.  
4 And this is a trial that will be  
5 submitted to FDA, so there's two key endpoints,  
6 one is a safety endpoint and the other is an  
7 efficacy endpoint. This is a 12-month  
8 follow-up study on new patients with paroxysmal  
9 atrial fibrillation looking for both the acute  
10 procedural success, but also chronic success in  
11 keeping people out of atrial fibrillation.  
12 The second technology is shown on the  
13 right here, it is just two of a portfolio of  
14 catheters that are used for the delivery of  
15 radiofrequency ablation energy. This trial is  
16 also ongoing in the U.S. at 23 centers and one  
17 European center. It again is also prospective  
18 and randomized, with 210 patients in this  
19 trial. The important difference compared to  
20 the last trial is that this is aimed at the  
21 patients with atrial fibrillation that is  
22 persistent or longstanding persistent, what  
23 some people might call permanent atrial  
24 fibrillation, so a different patient  
25 population.

00097

1 Again, looking at both the safety and  
2 efficacy. Because of the difference in the  
3 patient population with these people in  
4 permanent or persistent atrial fibrillation,  
5 the study has been designed for a six-month  
6 follow-up to see if we can keep people out of  
7 atrial fibrillation with this ablation  
8 technique compared with antiarrhythmic drugs.  
9 I may have neglected to mention the  
10 fact that the previous trial, the STOP AF trial  
11 has completed its follow-up, the results have  
12 not been presented yet, and this trial, the  
13 TTOP trial is close to completing its  
14 follow-up. Again, its results have not yet  
15 been presented, but I wanted you to be aware  
16 that these will be coming out in the very near  
17 future. Thank you very much.

18 DR. C. GOODMAN: Thank you very much,  
19 Dr. Stanton. We will move to our next speaker  
20 if that's okay, and Dr. Stanton, I hope you  
21 stay with us for further questions, soon I  
22 hope. Next is Dr. Reynolds, from the Beth  
23 Israel Deaconess. Dr. Reynolds.

24 DR. REYNOLDS: I thank you for the  
25 opportunity to address the panel. Good

00098

1 morning. I'm Matt Reynolds, I'm a clinical  
2 electrophysiologist for the VA Boston  
3 Healthcare System, and also the director of  
4 Economics in Quality of Life Assessment Group  
5 at the Harvard Clinical Research Institute, and  
6 I don't think I have a disclosure slide. I do  
7 have, I serve as a consultant for Biosense  
8 Webster and also, my research organization has  
9 received grant funding from Biosense Webster.  
10 I'm here on my own today, however, and my views  
11 are my own and I paid my own freight today.  
12 I'm going to focus my comments on two  
13 outcomes that I personally believe are of  
14 particular importance for testing the value of  
15 a proposed technique, particularly from a payer  
16 perspective, and those two outcomes are  
17 hospitalization and quality of life.  
18 To start with hospitalization,  
19 numerous studies have shown that hospital care  
20 accounts for over 50 percent of the direct  
21 medical costs of treating atrial fibrillation.  
22 Using data from the FRACTAL registry, we  
23 recently showed that hospital care not only is  
24 the largest single component of medical costs  
25 in AF, but also the most variable. And as you

00099

1 might expect, hospital costs increase in an  
2 almost linear fashion with the number of  
3 documented recurrences that an atrial  
4 fibrillation patient has over time. The  
5 implication of this finding is that better  
6 rhythm control therapies might be expected to  
7 reduce hospital costs over time.

8 What we know so far about AF ablation  
9 is that it actually does appear to do a better  
10 job of keeping people out of the hospital than  
11 contemporary antiarrhythmia drugs.

12 This recently released meta-analysis  
13 from independent investigators at Duke pooled  
14 the results of three randomized trials with an  
15 endpoint of hospital admission, and this was  
16 recently published online. The Duke  
17 investigators reported a pooled rate ratio of  
18 0.1 and an 85 percent reduction in favor of  
19 ablation. Now this finding also differs  
20 substantially from that reported in the AHRQ  
21 document, mainly because the Tufts team omitted  
22 the randomized trial from Pappone and  
23 colleagues in their analysis. Hospital  
24 admission data, however, has not been  
25 consistently reported in AF ablation studies

00100

1 and in my opinion going forward, it ought to  
2 be.  
3 I'm going to spend the rest of my time  
4 talking about quality of life. To start out  
5 with, it is well established that quality of  
6 life is reduced in a great majority of atrial  
7 fibrillation. These are results from four  
8 different studies. At baseline, the AFFIRM  
9 study provides a registry for cumulative data  
10 in an AF ablation series, and in all cases you  
11 see the baseline at 12, and SF-36 summary  
12 scores for both mental and physical health were  
13 well below age-adjusted population norms as  
14 shown by the vertical lines.  
15 I would argue that in 2009, quality of  
16 life is the most important endpoint of AF  
17 ablation studies after safety and rhythm  
18 control. This is the main reason we do these  
19 procedures in clinical practice, we do them to  
20 alleviate symptoms and to make patients feel  
21 better, and hopefully return their scores up  
22 closer to the normal level.  
23 Now I am aware that neither the MedCAC  
24 nor CMS in general are charged with evaluating  
25 cost effectiveness data. However, I'm going to

00101

1 show you a little bit in order to highlight how  
2 crucial quality of life results are in judging  
3 the current economic value of AF ablation.  
4 Shown here is a cost effectiveness  
5 acceptability curve from a model done last year  
6 as part of the health technology assessment of  
7 AF ablation in the U.K. The model compared AF  
8 ablation and amiodarone over a five-year  
9 period. It assumed a slight benefit before  
10 ablation in reduction of stroke. The base case  
11 result in the model was a cost effectiveness  
12 ratio of approximately 25,000 pounds per  
13 quality of life year, and that's a little bit  
14 higher if the reduction in stroke prevention is  
15 removed.  
16 But what I want to draw your attention  
17 to are comments made following a sensitivity  
18 analysis done by the investigators, and what  
19 they concluded is that the cost effectiveness  
20 of the procedure is highly dependent on a few  
21 things including, number one, prognostic  
22 benefits associated with normal sinus rhythm;  
23 and number two, the magnitude of any quality of  
24 life difference between catheterization and  
25 drugs.

00102

1 I recently published my own cost  
2 effectiveness model for AF ablation, and my  
3 results were actually highly concordant with  
4 those of the Nice investigators. This  
5 so-called tornado plot shows results from the  
6 study's sensitivity analyses, and again, I want  
7 to draw your attention to the fact that the  
8 widest bar at the top of the tornado here is  
9 the endpoint of quality of life or utility  
10 following successful ablation treatment, so the  
11 model is more sensitive to this parameter than  
12 any other single parameter. Again, the overall  
13 base case results in my model show just over  
14 \$50,000 per quality adjusted life year.  
15 What do we know about quality of life  
16 following catheter ablation for AF today?  
17 Actually, I would argue that we know quite a  
18 bit, and again, I think the Tufts technology  
19 assessment did not review any of this data.  
20 This is seven different observational series of  
21 AF ablation and the size of these studies range  
22 from 30 patients to over 500, and the results  
23 were remarkably strong and remarkably  
24 consistent, and that's why we're doing AF  
25 ablation in our patients. In every one of

00103

1 these studies, at least six of the eight test  
2 scales of the SF-36 were improved. The only  
3 scale that did not significantly improve across  
4 these studies was bodily pain, which is not a  
5 major manifestation of AF.  
6 If you look at this effect size  
7 column, these effect sizes are very very large.  
8 They ranged from 20 up to 70 points on a  
9 100-point scale, or expressed differently,  
10 between 0.5 and one standard deviation units.  
11 In the quality of life literature, a change  
12 from 0.2 to 0.3 standard deviation units is  
13 considered clinically meaningful. These  
14 changes are two to three times that threshold,  
15 and you cannot show me any data from any  
16 antiarrhythmic drug study or any rate control  
17 study that even approaches this magnitude in  
18 improvement. Again, these are some data from  
19 our center in Boston that show the same thing.  
20 The one thing that I want to highlight  
21 here, the SF-36 scores six months and 12 months  
22 after ablation are in the neighborhood of 50.  
23 That's normal for the population. The  
24 increases were similar in magnitude whether it  
25 was paroxysmal or persistent atrial

00104

1 fibrillation.

2 There have been four randomized  
3 studies comparing catheter ablation to  
4 alternative therapies. You can add a fifth,  
5 which is the study that Dr. Wilber told you  
6 about this morning. There are mutations of  
7 this data, but in every case the quality of  
8 life was superior with catheter ablation than  
9 with the alternative treatments.

10 DR. C. GOODMAN: Any closing comments?

11 DR. REYNOLDS: Closing comments. It  
12 is clear that quality of life is impaired,  
13 strong evidence indicated that quality of life  
14 is impaired in the majority of patients with  
15 AF, and therefore I think this is a critically  
16 important endpoint for any AF study.  
17 Catheterization clearly improves patients'  
18 quality of life with very large effect sizes in  
19 observational studies, returning scores to  
20 reference population norms. Multiple  
21 randomized trials have consistently shown  
22 greater improvement in quality of life  
23 following ablation than alternative therapy.  
24 These trials do have an important place in the  
25 topics you are discussing this afternoon.

00105

1 Thank you.

2 DR. C. GOODMAN: Thank you very much,  
3 Dr. Reynolds. Next is Dr. Bradley Knight, from  
4 the American College of Cardiology.

5 DR. KNIGHT: I would like to thank the  
6 panel for the opportunity to present on behalf  
7 of the American College of Cardiology. My name  
8 is Brad Knight, I am a practicing  
9 electrophysiologist at the University of  
10 Chicago. I have some relationships with  
11 industry in that I receive grants for research,  
12 fellowship support, and speaking honoraria from  
13 several different companies that make devices  
14 to treat atrial fibrillation.

15 I am presenting today for the American  
16 College of Cardiology, which represents a large  
17 number of talented cardiologists. I wanted to  
18 make a point related to the referral path that  
19 patients take before undergoing cath ablation.  
20 Many patients who undergo ablation for atrial  
21 fibrillation have been referred from their  
22 internist to their cardiologist to their  
23 electrophysiologist.

24 This referral path is important  
25 because it, for at least two reasons. One, I

00106

1 think it represents a real world support for  
2 the therapy. Many of these patients are  
3 referred from cardiologists outside their  
4 groups with electrophysiologists, and I think  
5 it's an indicator of the support in the real  
6 world for safety and efficacy of the procedure.  
7 And second, it provides an additional screening  
8 tool for the identification of appropriate  
9 patients for this procedure.  
10 I'm going to present about 12 slides  
11 to try to reinforce three points. One is that  
12 atrial fibrillation can be debilitating for  
13 many patients based on the symptoms that they  
14 have. Number two, that there are important  
15 limitations of currently available medical  
16 therapies for treating atrial fibrillation and  
17 controlling its symptoms. And number three,  
18 that the evidence supports the safety and  
19 efficacy of catheter ablation for atrial  
20 fibrillation, and that the alternatives would  
21 be for these patients, antiarrhythmic  
22 medication or cardiac surgery.  
23 As has been shown already this  
24 morning, atrial fibrillation affects more than  
25 two million Americans. Atrial fibrillation

00107

1 patients are at increased long-term risk of  
2 stroke, heart failure, all-cause mortality, and  
3 as pointed out this morning, there are major  
4 limitations to the current drugs that are used  
5 to maintain sinus rhythm. Many of the people  
6 in this room appreciate the low efficacy, the  
7 high incidence of side effects, risk of organ  
8 toxicity, risk of proarrhythmia, and the  
9 necessary need to hospitalize patients to  
10 initiate another drug therapy.  
11 Dronedarone is the first  
12 antiarrhythmic drug to be approved by the FDA  
13 for the management of atrial fibrillation in  
14 ten years. This is not an indicator that the  
15 therapies have been inadequate, but more an  
16 indication that there are limitations to giving  
17 cardiac antiarrhythmic drugs for the treatment  
18 of atrial fibrillation. Importantly, this new  
19 drug that has finally come to commercial  
20 availability comes with a black box warning  
21 against use in patients with advanced heart  
22 failure, and is probably only half as effective  
23 as amiodarone.  
24 There is no dispute that ablation can  
25 eliminate atrial fibrillation in some patients

00108

1 with frequent, debilitating, drug refractory  
2 atrial fibrillation. When we talk about  
3 efficacy, and these questions were raised  
4 previously, if you're looking at symptom  
5 control, there is no dispute that patients who  
6 present with paroxysmal atrial fibrillation as  
7 shown in this electrocardiogram show frequent  
8 bursts of very symptomatic atrial fibrillation,  
9 and a catheter ablation procedure can eliminate  
10 the atrial fibrillation through many years of  
11 follow-up in these patients.

12 The real issue from our perspective is  
13 what percentage of patients does this help and  
14 what are the risks involved in the procedure,  
15 not whether it can be effective in an  
16 individual patient. These are the risks, and  
17 this has been discussed previously. Vascular  
18 access complications, cardiac tamponade or  
19 perforation, thromboembolism or stroke,  
20 pulmonary vein stenosis, hemidiaphragmatic  
21 paralysis, left atrial esophageal fistula, and  
22 rarely, death.

23 There is important guidance in this  
24 society, professional societies, that are  
25 related to proficiency by physicians performing

00109

1 the procedures. At least two of these  
2 guidelines, including invasive EP studies, cath  
3 ablation, and cardioversion, are included in  
4 the statement that is sponsored by the American  
5 College of Cardiology and American Heart  
6 Association. It also makes a point, as does  
7 the American College of Cardiology, I think  
8 there's a general consensus and agreement  
9 between these professional organizations with  
10 relation to this therapy. In other words, the  
11 American College of Cardiology and the Heart  
12 Rhythm Society frequently cosponsor guidelines  
13 and documents with relation to this topic, and  
14 there is general consensus agreed on with its  
15 effectiveness.

16 And Dr. Calkins will be speaking later  
17 on a report by a task force on catheter and  
18 surgical ablation for treatment of atrial  
19 fibrillation, and it addresses the proficiency  
20 by the operating physician.

21 DR. C. GOODMAN: Two minutes, Doctor.

22 DR. KNIGHT: Catheter ablation is a  
23 reasonable second line treatment option to  
24 prevent recurrent atrial fibrillation in  
25 symptomatic patients with and without

00110

1 structural heart disease, and this is based on  
2 the ACC/AHA/ESC guidelines.  
3 This table has been shown previously  
4 and it emphasizes the fact that cath ablation  
5 is considered second line therapy in patients  
6 with and without structural heart disease. But  
7 importantly, many studies have been completed  
8 since these guidelines were published in 2006,  
9 there will be some additional presentations  
10 presented this morning that will discuss data  
11 that has been accumulated over the last three  
12 years, and I would like to also make the point  
13 that the guidelines from the ACC and AHA will  
14 have focused guidelines to be published in  
15 2010.

16 Catheter ablation is performed for  
17 atrial fibrillation internationally. The ACC  
18 feels that patients who have atrial  
19 fibrillation should continue to have access to  
20 cath ablation. Without the availability of  
21 cath ablation for atrial fibrillation, many  
22 patients with drug refractory symptomatic  
23 atrial fibrillation will likely be referred for  
24 AV junction ablation as was performed  
25 previously for atrial fibrillation over ten

00111

1 years ago, which is associated with pacemaker  
2 implantation and perhaps cardiac surgery. AV  
3 junction ablation does not eliminate atrial  
4 fibrillation and often results in pacemaker  
5 dependence. Thank you.

6 DR. C. GOODMAN: Thank you very much,  
7 Dr. Knight, we hope you will stay for further  
8 questions and discussion. Next is Dr. Marcia  
9 Yaross from Biosense Webster.

10 DR. YAROSS: Good morning, and thank  
11 you for the opportunity to present to the panel  
12 this morning. My name is Marcia Yaross. I am  
13 employed at Biosense Webster, the company that  
14 manufactures the Thermocool ablation catheter,  
15 which was the first catheter approved by the  
16 FDA for atrial fibrillation indications.  
17 This morning I will present evidence  
18 on the benefits and risks of catheter ablation  
19 for the treatment of AFib, with a focus on  
20 information that supplements the AHRQ  
21 assessment presented by Drs. Garlitski and Ip,  
22 as it relates to Medicare-aged patients.  
23 Biosense Webster provides support for AF  
24 clinical research. The Thermocool study that  
25 led to our recent FDA approval was just

00112

1 discussed by Dr. Wilber, so I will skip this in  
2 the interest of time.  
3 In the primary analysis on the left,  
4 catheter ablation subjects were more than four  
5 times more likely to be free from symptomatic  
6 AF recurrence. And in addition on the right,  
7 freedom from atrial tachycardia beyond a  
8 standardized norm was analyzed as recommended  
9 by the HRS consensus document in the catheter  
10 ablation group.  
11 Comparative safety results were  
12 excellent. The incidence of recurrent events  
13 was lower in the ablation group than in the  
14 drug treatment group for all analyzed adverse  
15 events in this carefully monitored data set.  
16 Quality of life was improved and  
17 ablation patients improved over the baseline  
18 SF-36 scores from significantly below to at or  
19 above the population norms. These results were  
20 well preserved throughout follow-up. No  
21 comparable improvement was seen in the drug  
22 treated group. Equally dramatic differences  
23 were reported between the two groups for AF  
24 symptom frequency and severity.  
25 I would now like to look at the

00113

1 meta-analyses as published in the literature.  
2 This slide was a recent meta-analysis in a tech  
3 assessment that independently reached common  
4 conclusions. They all reported higher efficacy  
5 with lower complications with catheter ablation  
6 versus drugs, though with some differences  
7 across subcategories of AF patients. Details  
8 of this are part of your packets. Please note  
9 that there is overlap in the studies included  
10 in this meta-analysis.  
11 The literature also provides  
12 substantial evidence of improved quality of  
13 life as assessed by the SF-36 for patients  
14 after catheter ablation, and Dr. Reynolds just  
15 spoke about this. Details are available in the  
16 packet as well.  
17 One of the reasons, of course, that  
18 we're here today is because of the prevalence  
19 of AF in Medicare beneficiaries. While the  
20 mean age in some of these studies was 55, as  
21 just discussed already, there's accumulating  
22 evidence that the results seen in somewhat  
23 younger populations also apply to those aged 65  
24 and older. Details of those studies and  
25 presentations are provided in our packet.

00114

1 While most are observational, they represent a  
2 large sample size and report outcomes in the  
3 Medicare aged population that are highly  
4 consistent with those from the randomized  
5 trials, and provide substantial evidence  
6 supporting the reasonableness of catheter  
7 ablation in appropriately selected Medicare  
8 patients.

9 Consistency of outcomes across age  
10 groups was also observed in our own study.  
11 Nearly one in four of the study subjects was 65  
12 or older, and age was not a significant  
13 indicator for either safety or effectiveness of  
14 outcomes.

15 For presentation at this meeting we  
16 have stratified the results by age groups.  
17 Patients undergoing ablation who were 65 and  
18 older actually experienced slightly fewer  
19 primary adverse events compared to younger  
20 patients, although the study was not adequately  
21 powered to draw statistical conclusions for  
22 this subgroup analysis. Efficacy results were  
23 also consistent between the older and younger  
24 patients. The Kaplan-Meier curves on the left  
25 show suspected endpoints in the RF and therapy

00115

1 groups in only subjects age 65 or older. You  
2 can see the robust treatment effect favoring  
3 catheter ablation versus antiarrhythmic drugs  
4 in preventing symptomatic AF recurrence.  
5 The upper right curve compares freedom  
6 from atrial tachyarrhythmia between drug and  
7 ablation groups, and again, there is a  
8 comparable treatment effect which, again,  
9 favors the ablation group.  
10 Finally, the lower right-hand graph  
11 directly compares recurrent atrial  
12 tachyarrhythmias between ablation and drug for  
13 those less than, or aged 65 and over, and  
14 again, no difference was seen.  
15 DR. C. GOODMAN: About two minutes.  
16 DR. YAROSS: We similarly reanalyzed  
17 the quality of life results by age. The  
18 manifest improvement in SF-36 scores were  
19 comparable between older and all ablation  
20 patients, and the improvement was maintained  
21 throughout follow-up. Reductions in AF  
22 symptoms were also looked at in the older  
23 ablation subject alone compared to the whole  
24 ablation cohort.  
25 I believe these supplemental results

00116

1 form an evidence base for catheter ablation for  
2 AFib. The evidence is growing rapidly. These  
3 graphs summarize recent searches on catheter  
4 ablation for atrial fibrillation on PubMed and  
5 clinicaltrials.gov, listing open and developing  
6 studies with nearly 13,000 patients from under  
7 18 to 90 years of age, so this evidence base  
8 can be expected to expand over the next few  
9 years. The NIH, as discussed by Dr. Rosenberg,  
10 as well as some commercial entities, are  
11 investing substantially to build on the  
12 established studies. This slide lists a  
13 sample of studies currently funded either  
14 wholly or in part by Biosense Webster, some of  
15 which will include follow-up for two to five  
16 years.

17 In conclusion, there is substantial  
18 evidence that supports the health benefits of  
19 catheter ablation as compared to drug therapy  
20 as a reasonable choice for atrial fibrillation  
21 in appropriately selected patients, and  
22 included in those aged 65 or older. This  
23 evidence was reflected in the ACC treatment  
24 guidelines and the HRS consensus statement,  
25 both indicating catheter ablation as

00117

1 second-line therapy for treatment of atrial  
2 fibrillation.  
3 We expect current research to further  
4 strengthen the evidence base, thereby helping  
5 clinicians and their patients make the best  
6 choice. I thank you for your attention and I  
7 will be happy to take questions when  
8 appropriate.

9 DR. C. GOODMAN: We will have a few  
10 questions in the next session.

11 Next is Dr. Hugh Calkins, from Johns  
12 Hopkins Medical Institutions, for the Heart  
13 Rhythm Society.

14 DR. CALKINS: Good morning. First, I  
15 would like to introduce myself as Hugh Calkins,  
16 and I'm a professor of medicine and  
17 electrophysiology at Johns Hopkins, so I didn't  
18 travel very far this morning. I'm here today  
19 representing the Heart Rhythm Society. As far  
20 as disclosures are concerned, I've been a  
21 consultant with Ablation Frontiers, Biosense  
22 Webster, and have participated in many  
23 multicenter clinical trials on cath ablation  
24 sponsored by Ablation Frontiers, Biosense  
25 Webster, ProRhythm and Bowers Medical.

00118

1 Now I just want to, before I begin my  
2 remarks I will state that I was hoping that we  
3 would have 30 minutes to present the Heart  
4 Rhythm Society's perspective on atrial  
5 fibrillation, but fair is fair and we ended up  
6 with six minutes. And I have about 40 slides,  
7 and I'm going to skip through virtually all of  
8 them and try to highlight what's important to  
9 the Heart Rhythm Society when we consider cath  
10 ablation.

11 Just to remind you, the Heart Rhythm  
12 Society is a large organization that represents  
13 electrophysiologists and other members of the  
14 health care community that treat patients with  
15 cardiac arrhythmias such as atrial  
16 fibrillation, which I think is one of our most  
17 important focuses at this time.

18 I'm going to cover a few things, and  
19 again, I will move very rapidly. First, we  
20 have our consensus document on atrial  
21 fibrillation ablation which was published in  
22 2007, and this was a state-of-the-art review of  
23 the field, and we were charged with looking at  
24 indications, procedures and techniques, and the  
25 outcomes, and we also took the opportunity to

00119

1 try to assess standards for clinical trials in  
2 the future. As was brought out in earlier  
3 talks today, the clinical trials in the past  
4 have been somewhat variable as far as duration  
5 of follow-up, definition of success, reporting  
6 of outcomes, and we worked to try to  
7 standardize those aspects of the trials.  
8 Let me just, a few points worth  
9 mentioning. First is when the panel convened  
10 to review catheter ablation for atrial  
11 fibrillation, we concluded that the appropriate  
12 indication for catheter ablation for AFib was a  
13 second-line therapy in most patients with  
14 symptomatic AFib who failed one or more drugs.  
15 We did also state that in some patients, but  
16 rarely, it is appropriate as first-line  
17 therapy. And also in patients with heart  
18 failure, there are selected patients with heart  
19 failure where a catheter ablation procedure  
20 would be appropriate.  
21 In terms of the techniques of AF  
22 ablation, we identified the pulmonary vein  
23 ablation as the cornerstone of the procedure,  
24 the most important thing that we want to  
25 accomplish. There's an objective technique for

00120

1 lines and cafes that are removed in variable  
2 studies but the essence is the electrical  
3 isolation of the pulmonary veins.  
4 Now I'm going to skip over all the  
5 clinical trial aspects. Of course Doug Packer  
6 was very important in writing all of these and  
7 played an important role in his design of the  
8 CABANA study, which I think will be one of the  
9 most important studies going forward in looking  
10 at the issue about AFib and AFib ablation, and  
11 the heart outcomes that we found out today such  
12 as stroke risk and so forth. I think the  
13 CABANA trial is very promising on that.  
14 Just a few more comments on the data.  
15 This is a meta-analysis that was done a number  
16 of years ago looking at four prospective  
17 randomized clinical trials on cath ablation and  
18 atrial fibrillation. And the point I think,  
19 that you've seen again and again today, is cath  
20 ablations are about threefold more effective  
21 than antiarrhythmic drugs in preventing  
22 recurrent symptomatic atrial fibrillation.  
23 Since this meta-analysis was done, there is a  
24 more recent study done by Jais and colleagues,  
25 the A4 Study, and again they had patients with

00121

1 AFib randomized to cath ablation or drugs.  
2 They allowed two ablation procedures in the  
3 period, but at the end of the day, 89 percent  
4 successful ablation, 23 percent with drugs, and  
5 there was a very low complication rate.  
6 And then finally, I recently had the  
7 chance to work with a group to put together two  
8 meta-analyses of the world's literature on cath  
9 ablation and antiarrhythmia drug therapy to  
10 sort of look at the body of data and figure out  
11 what it tells us. Each of the panel members  
12 should have had a copy of this provided to  
13 them, but I just want to highlight the overall  
14 results which are shown in this slide.  
15 It basically raises the same points,  
16 the antiarrhythmic drug therapy is successful  
17 in about 52 percent of the patients. If you  
18 look at catheter ablation, the single procedure  
19 off drugs is 57 percent. Multiple procedures  
20 with cath off drugs, 71 percent. Multiple  
21 procedure success on or off drugs, 77 percent.  
22 And then I just want to make a few  
23 comments, you know, in closing, that what I  
24 think is important to consider is not only the  
25 huge body of literature, not all of which is

00122

1 perfect, but all this literature that we have  
2 to date shows that cath ablation works. All of  
3 the randomized studies have shown in favor of  
4 cath ablation. But one of the important things  
5 are the long lines of patients waiting at every  
6 one of our centers to have the procedure, I had  
7 to cancel a case today, to have this procedure  
8 done. And it's now, I think, the most common  
9 ablation procedure worldwide, patients benefit  
10 from it tremendously, the waiting lines are  
11 long, and I think the Heart Rhythm Society  
12 feels strongly that we want continued access to  
13 this procedure.

14 I also want to remind the panel that  
15 the body of literature is substantial, but it's  
16 growing fast and the questions that were  
17 brought up about long-term follow-up, the  
18 elderly, persistent AFib, there are multiple  
19 studies ongoing or recently published  
20 addressing these topics, so I think the field  
21 is evolving.

22 I thank you very much for the chance  
23 to be here.

24 DR. C. GOODMAN: Thank you very much,  
25 Dr. Calkins.

00123

1 I believe those were our six scheduled  
2 presenters, correct? If I could ask the six  
3 presenters to please come to the front of the  
4 room, we might have some questions.  
5 (Discussion concerning audiovisual.)  
6 So, we've had our presentations from  
7 our six splendid experts, and they were only  
8 seven minutes long and we were, there were no  
9 signups for the open speaker section, so we  
10 have more of that time to talk with our seven  
11 scheduled speakers, and I know that a lot of us  
12 have some questions.  
13 I just wonder if the panel would  
14 consider the following. As you know, prior to  
15 our voting questions, we have several  
16 discussion questions and you will find that on  
17 your voting sheet, and it may be useful for us  
18 while we have questions for our six presenters  
19 if we might try to consider those.  
20 I see four main areas of discussion  
21 questions and those were, if you recall, with  
22 regard to clinical comparators, population,  
23 outcomes, and device characteristics and  
24 physician training was that last category. So  
25 while we don't have to limit our discussion

00124

1 with our presenters to those four main  
2 categories, it would probably help us in our  
3 subsequent deliberations. Okay.  
4 Dr. Packer is first up, and if you can  
5 direct your questions to one of our six  
6 presenters, that would be good. If not, you  
7 can just state them generally. And I also ask,  
8 sorry for all the logistics, but if you're  
9 going to respond to one of the questions, if  
10 you could come to the microphone before  
11 speaking, that way we will make sure that our  
12 court reporter knows who you are and that we  
13 can hear what you've got to say. Dr. Packer.  
14 Well, Dr. Yaross, why don't you come  
15 back to the front row, because we will get to  
16 that. Thank you very much. Dr. Packer.  
17 DR. PACKER: So, I have some outcomes  
18 questions for Dave Wilber. One of the problems  
19 with this is that all of this has been  
20 inherently rushed. Could you go back and tell  
21 us a little bit more about the downside of the  
22 study? It looked like there was a five percent  
23 risk of complications. Could you please state  
24 what were they, and what was the outcome, were  
25 these universally lethal or what was the issue,

00125

1 and then I have a follow-up question about the  
2 efficacy side.

3 DR. C. GOODMAN: Please speak directly  
4 into the microphone every time. Thank you.

5 DR. WILBER: Dave Wilber from Loyola.

6 There were five complications that were from a  
7 list, a prespecified list, and you've all heard  
8 about what that list is, it includes stroke,  
9 death, tamponade. And actually the study had  
10 none of those serious complications. The  
11 complications were one patient who had a  
12 pericardial effusion that was asymptomatic and  
13 not treated. There was one patient with heart  
14 failure, one patient had vascular access  
15 complication that was treated conservatively,  
16 did not require a transfusion. So most of the  
17 complications were, although potentially  
18 serious, resolved without sequelae. And so  
19 that in fact, the danger of complications,  
20 perhaps the highest morbidity outcomes, is a  
21 small patient group. I think this is also  
22 remarkably reflected in the quality of life  
23 analysis that was shown.

24 DR. C. GOODMAN: Thank you.

25 DR. PACKER: Following up on the

00126

1 efficacy side, and that is, is there a  
2 discordance in the data between the quality of  
3 life and actual recurrence rates? Because your  
4 recurrence rate was down on the order of 64  
5 percent, which seems low compared to most other  
6 studies, and yet the quality of life issues  
7 were different. Can you address that  
8 specifically?

9 DR. WILBER: I think for one, the  
10 major reason is what was pointed out  
11 previously, that lack of recurrence doesn't  
12 necessarily impact quality of life, and while  
13 it's the easiest one to measure, it's certainly  
14 not the most important one, and we're all  
15 struggling to measure improvements. Quality of  
16 life perfectly reflects that, and so in a  
17 patient that even had a single occurrence may  
18 have had little if any effect on quality of  
19 life.

20 DR. C. GOODMAN: Good, thank you.  
21 Dr. Yaross, was there a response to that  
22 question?

23 DR. YAROSS: Yeah, to Dr. Packer's  
24 question. Actually in that trial, that  
25 reflected a lot of protocol compliance issues

00127

1 as well as recurrence, so of that 23 percent of  
2 the subjects in the trial, it's not necessarily  
3 true that they actually failed due to  
4 recurrence.

5 DR. C. GOODMAN: Thank you. I believe  
6 Dr. Carlson is next.

7 DR. CARLSON: This is a question for  
8 David as well. You had a very large number of  
9 patients screened versus those that were  
10 actually entered into the trial. How  
11 reflective is that of other trials and what are  
12 the implications of that for the Medicare  
13 population?

14 DR. WILBER: That's an excellent  
15 question. I think for many of the trials, we  
16 actually didn't see that process from initial  
17 solicitation. And to be straightforward, a  
18 substantial number of patients screened simply  
19 didn't meet the inclusion and exclusion  
20 criteria because of the fact that the patient  
21 had to have a certain frequency of AF or have  
22 persistent atrial fibrillation, so the vast  
23 majority of the 5,000 screened, roughly 4,500  
24 were really because they didn't meet exclusion  
25 and inclusion criteria.

00128

1 But the important thing is that once  
2 you get to the patients who were eligible, 80  
3 percent of the eligible patients refused, and  
4 so only 20 percent of those eligible actually  
5 received the randomization, and that obviously  
6 would have some significant implications, and  
7 it reflects in some cases as a second line  
8 therapy.

9 One of the comments made by one of the  
10 other speakers was that patients are often  
11 strong advocates, as are other physicians, for  
12 catheter ablation. So once something's failed  
13 one or more antiarrhythmic drugs, they become  
14 much more reluctant to have further drug  
15 therapy as one of the options. And so I think  
16 perhaps the really good adjustment that was  
17 done by CABANA was to emphasize that we want to  
18 enter patients who are relatively early in  
19 therapy.

20 DR. C. GOODMAN: Thank you.

21 Dr. Maisel was next.

22 DR. MAISEL: I had a question for Dr.  
23 Reynolds. You characterized the quality of  
24 life issue (inaudible) with relation to quality  
25 of life issues, and I think it's going to be

00129

1 very interesting to show (inaudible)  
2 hospitalizations. You put up a slide that  
3 showed, that primarily relied on the SF-36 for  
4 assessing quality of life in these patients.  
5 Can you comment on how validated that is in the  
6 population?  
7 You also showed some data regarding  
8 the reduction of quality of life, or  
9 improvement rather, based on a point scale.  
10 Could you maybe tell us how that point scale  
11 correlates with how the patients actually feel?  
12 DR. REYNOLDS: The SF-36 is not widely  
13 validated specifically for atrial fibrillation.  
14 It is the most widely validated quality of life  
15 measure for a wide variety of medical  
16 conditions, so it's a generic quality of life  
17 tool. It is relevant in that there is normally  
18 data for the population, and again, SF-36 has  
19 been used to measure treatment effects upon its  
20 particular scales. So that, to that extent  
21 it's a useful measure. It's not an ideal  
22 measure for the AF population. In fact there  
23 is no, in my opinion, ideal quality of life  
24 measure specifically stratified for AF. A lot  
25 of studies also use a symptom checklist which

00130

1 is helpful, it can give you useful measures,  
2 symptom frequency and symptom severity in a lot  
3 of trials that used it. There is at least one  
4 I know of, a disease-specific quality of life  
5 questionnaire that's in development for AF but  
6 it's not available for use in trials yet.  
7 In terms of your second question, so  
8 what are the scales we use, historically, yes,  
9 the SF-36 had eight individual scales that  
10 range from zero to a hundred, so the higher the  
11 score the better. Again, I think the easiest  
12 way to sort of pull it out is to use a standard  
13 deviation, so the standard deviations on most  
14 of those hundred-point scales are in the range  
15 of 20, some a little more, some a little less.  
16 So again, in the observational studies and  
17 actually the randomized trials, the magnitude  
18 of the treatment effects most of the time was  
19 more than 20 points, 50 points, 70 points.  
20 Those sort of changes on the hundred-point  
21 SF-36 are two and three standard deviations, so  
22 those are really very very large treatment  
23 effects.  
24 The SF-36 also has physical component  
25 and mental component summary scores, and these

00131

1 are normalized for the population. So those  
2 scores have a mean of 50 for the population as  
3 a whole and the standard deviation of ten for  
4 the population as a whole, so again, using that  
5 same metric of about 0.2 to 0.3 standard  
6 deviation, these changes are really very  
7 significant. And again, the SF-36  
8 investigators have shown that changes in that  
9 range correlate with hospitalization in the  
10 Medicare population and they correlate with  
11 mortality. And again, across all the  
12 observational studies and across multiple  
13 randomized trials, the changes on the mental  
14 and physical component scores have been five  
15 points, six points, eight points, which is  
16 again, 0.5 to 0.8.

17 DR. C. GOODMAN: Dr. Maisel, are you  
18 satisfied that -- Dr. Reynolds made during his  
19 presentation a strong case that quality of life  
20 is an important outcome, perhaps the most  
21 important outcome. Are you satisfied that he  
22 has explained to you whether or not there is a  
23 very significant valid measure for that most  
24 important outcome?

25 DR. MAISEL: I agree with Dr. Reynolds

00132

1 that quality of life is an important outcome,  
2 and if we had nothing else, we would still have  
3 that as a very valid reason for providing the  
4 patient with the treatment. I think the SF-36  
5 is the best available tool that we have. Could  
6 I imagine a better tool? I could imagine a  
7 better tool, but I'm satisfied that it  
8 accurately reflects quality of life in this  
9 publication.

10 DR. C. GOODMAN: Thank you. Dr.  
11 Calega.

12 DR. CALEGA: Just a question about the  
13 patient selection, for the first speaker. You  
14 said that patients over the age of 18 were  
15 included in the study, but what was the median  
16 age, what was the age range, and did it go  
17 beyond 70 for elderly patients?

18 DR. WILBER: The mean range was, I  
19 think was in the slides and was in the upper  
20 50s. There were patients in the over-65 age  
21 group. And actually, the second study that you  
22 saw from Dr. Yaross is the same database, so  
23 they were divided between the two, so you  
24 basically see that the outcomes were no  
25 different for the Medicare population. So to

00133

1 answer your question, yes, the mean was low,  
2 but Medicare aged patients were about 20 to 30  
3 percent.

4 DR. C. GOODMAN: Dr. Satya-Murti.

5 DR. SATYA-MURTI: As a  
6 non-cardiologist, I was making notes comparing  
7 speakers here, their conclusions and that of  
8 the TA. The TA refers to absence of  
9 concurrence of the abnormal group, but the  
10 speakers have emphasized not only that, but  
11 also the symptomatic relief, a key component  
12 that was not prominent in the TA's conclusions.  
13 And then the quality of life in all these  
14 papers not being included, and the age  
15 difference. So was the TA, then, making those  
16 conclusions based on the strength of evidence,  
17 or are you all relying on a more, a weaker  
18 basis of strength of evidence? Why is there  
19 this discrepancy?

20 As a non-cardiologist but as a  
21 neurologist who is used to refractory seizures  
22 and convulsion failures, so I'm trying to see,  
23 where is the difference coming from? And after  
24 you, perhaps the TA, maybe they can respond to  
25 this too.

00134

1 DR. CALKINS: It's interesting that  
2 the endpoint of clinical trials, the most  
3 common endpoint of most clinical trials in AF  
4 is freedom from recurrence of symptomatic  
5 atrial fibrillation, so the other issue that  
6 was raised earlier about what about  
7 asymptomatic atrial fibrillation, because you  
8 can have someone who is free of any symptoms  
9 from AFib can still have clinical evidence of  
10 an episode of AFib.  
11 So the main endpoint of really the  
12 entire area is symptomatic recurrences of  
13 atrial fibrillation. I think the only set  
14 looking at total recurrences of atrial  
15 fibrillation, symptomatic or not, is the data  
16 from the Thermocool study where they analyzed  
17 the data and they were actually monitoring, you  
18 know, and looking at that. But I think the  
19 reason the procedures were performed is to  
20 prevent symptomatic atrial fibrillation and the  
21 indication for the procedure is, you know,  
22 symptomatic AFib after failing one or more  
23 drugs, so really symptoms and the occurrence of  
24 symptomatic AFib is the main thing we're  
25 concerned about.

00135

1 DR. C. GOODMAN: Thank you. Do Dr. Ip  
2 or Garlitski want to make a comment in regard  
3 to that?

4 DR. IP: We have mentioned it very  
5 basically. We just looked at the rate of  
6 recurrence without breaking out symptomatic or  
7 asymptomatic, we put them all together in the  
8 same house. So when we make a conclusion, we  
9 just say rate of recurrence, we don't qualify  
10 it as symptomatic or asymptomatic.

11 DR. SATYA-MURTI: But if symptoms were  
12 a prerequisite to come to a conclusion, then  
13 would a second look of the symptoms, taking  
14 symptoms also as a factor, would come a similar  
15 conclusion, or would that be different?

16 DR. IP: I wouldn't know that without  
17 looking at it in that fashion.

18 DR. C. GOODMAN: Thank you.  
19 Dr. Dullum is next.

20 DR. DULLUM: I wrote myself a note  
21 when Dr. Rosenberg was up there about  
22 comparators, and being I guess the lone surgeon  
23 of the group, I was wondering why that was  
24 never included in any of those studies, maybe  
25 because of whichever company was doing their

00136

1 device study, this might be better for NIH, but  
2 I still have a concern that the data is not all  
3 that crystal clear to me of the benefit of  
4 ablation, because there are different  
5 techniques that are used, and I apologize, I  
6 forgot which presenter mentioned about  
7 different ablation lines, so there doesn't seem  
8 to be a clear lesion set yet in catheter  
9 ablation that everybody is following and I  
10 haven't seen one that says yes, you must do  
11 this line only, so it seems like comparing  
12 apples to oranges.

13 And also for the drug treatment, when  
14 they're free of drug therapy at the end of 12  
15 months, is that all the cardiac drug therapy or  
16 are people still on beta blockers? What we  
17 define as antiarrhythmic is still, at least to  
18 me is still fairly muddy based on this  
19 evidence.

20 DR. C. GOODMAN: Dr. Calkins, I guess  
21 my first question is, do you have a concise  
22 response to that?

23 DR. CALKINS: Yes. Concerning the  
24 technique, you know, there is the consensus  
25 document, and the world of electrophysiologists

00137

1 and also cardiac surgeons recognize that  
2 electrical isolation of the pulmonary vein is  
3 the most important vein certainly in the case  
4 of surgery, so what the surgeons mainly are  
5 doing are isolating those veins. Now there are  
6 additional lines or what's called CAFE ablation  
7 and there's more data about how much  
8 incremental effectiveness that has, but if you  
9 were to ask every electrophysiologist, and I  
10 bet every cardiac surgeon who is doing these  
11 procedures, are you trying to ablate the  
12 pulmonary vein, the answer is absolutely yes,  
13 because that's the cornerstone of the  
14 procedure, and our consensus document was also  
15 written in conjunction with the Society For  
16 Thoracic Surgery, and several area surgeons  
17 were members of that committee, so I think  
18 there's more unanimity, I think there really is  
19 a consensus as to the procedure. There are  
20 some variances, but we all agree with what the  
21 guts and sort of the core is.  
22 As far as the drugs during follow-up,  
23 all these refer to antiarrhythmic drugs class I  
24 or III, and not beta blockers or calcium  
25 blockers, or other type patients.

00138

1 DR. C. GOODMAN: Thank you,  
2 Dr. Calkins.  
3 Dr. Yaross, this is the question about  
4 your slide. This is the slide referring to  
5 patients aged 65 and older, so what I hope you  
6 can clarify is this. In your conclusions you  
7 say that the evidence supports consistent  
8 treatment effect in patients of 65 years of  
9 age, and I think I know what the word  
10 consistent means. When I look at the slide  
11 where the data have been sort of clustered  
12 under the age 65 and older population, when I  
13 look at the efficacy results on the right-hand  
14 side, that is highly populated with the  
15 statement no significant difference, and  
16 there's only like an instance or two where  
17 there's something other than no significant  
18 difference. Since we're so very concerned  
19 about this population of course, and trying to  
20 kind of extract that from the broader  
21 population, what actually are you concluding  
22 about that group, if most of the findings are  
23 no significant difference, what can we  
24 conclude?  
25 DR. YAROSS: What I should point out

00139

1 here is, this is no significant difference  
2 across the age groups, so between the age  
3 strata in each study. There certainly were  
4 differences between, and remember, these were  
5 observational studies, these were not against  
6 comparator therapy but across the age strata.  
7 So in most of these studies, they are either a  
8 prospective or retrospective assessment of the  
9 effectiveness of the therapy of catheter  
10 ablation between younger and older subjects.

11 DR. C. GOODMAN: So when it comes to  
12 the question of the external validity of the  
13 data to the Medicare population in particular,  
14 one might infer or conclude from your slide  
15 that if it works in the broader population it  
16 works in the Medicare population, if it doesn't  
17 work in the broader population it doesn't work  
18 in the Medicare population? What's the proper  
19 thing to conclude about that?

20 DR. YAROSS: What we conclude is that  
21 the body of evidence showing reasonable  
22 effectiveness and reasonable safety in the  
23 general population with a mean of about 55 is  
24 equally applicable to the older population,  
25 because in these studies where they compared

00140

1 results between younger patients and the older  
2 patients, there was no difference between those  
3 age strata in the effectiveness of the safety  
4 or outcomes, with some small differences seen  
5 in one or two, but the consensus are, the  
6 takeaway that we got from our analysis, and  
7 these I believe were provided to you as a Table  
8 5 in what we gave you, was that across those  
9 age strata, the effectiveness was consistent.

10 DR. C. GOODMAN: So the consistency  
11 has to do with age strata?

12 DR. YAROSS: Yes.

13 DR. C. GOODMAN: And what was  
14 consistent across those were largely the  
15 outcomes of sinus rhythm management, correct?

16 DR. YAROSS: Yes, sinus rhythm when  
17 looking at basically reduction in occurrence --  
18 well, it was not comparative, but maintenance  
19 of sinus rhythm and safety.

20 DR. C. GOODMAN: Thank you very much.  
21 Dr. Calega.

22 DR. CALEGA: Just as a follow-up, the  
23 next two slides that you presented commented  
24 about the small sample size over 65, and that  
25 the study was not powered to draw statistical

00141

1 conclusions. Could you comment on that?

2 DR. YAROSS: Sure. These next slides  
3 were a post hoc stratification of the  
4 Thermocool study that Dr. Wilber presented, and  
5 in this study 22 percent of the subjects were  
6 65 years or older, so we stratified that to see  
7 if we could shed some light on this question,  
8 and when we did that we saw that, again,  
9 freedom from any atrial tachyarrhythmia, as  
10 shown in the lower right-hand corner, was  
11 equivalent. And if you look at the top graph,  
12 it shows the difference in just the 65 and  
13 older population between drug-treated and  
14 ablation-treated patients.

15 DR. C. GOODMAN: Follow-up,  
16 Dr. Calega?

17 DR. CALEGA: I understand what you're  
18 presenting, but I'm still stuck on the fact  
19 that you've got a very small sample size, and  
20 can you really say statistically?

21 DR. YAROSS: We're not trying to make  
22 a statistical conclusion, simply to shed some  
23 light on this question where we stratified the  
24 data.

25 DR. C. GOODMAN: Thank you for that

00142

1 clarification, I know I find that helpful, and  
2 our audiovisual person can take down the slide  
3 projector. Thank you for that. Ms. Atkinson?

4 MS. ATKINSON: The only question I  
5 have, and it can be anyone on the panel or the  
6 speakers, but just for clarification, can I  
7 have a clearer definition of what we mean when  
8 we say failed drug therapy?

9 DR. C. GOODMAN: Dr. Calkins has risen  
10 to the challenge.

11 DR. CALKINS: Failed drug therapy  
12 means that the patient is placed on an  
13 antiarrhythmic drug class I or III agent and it  
14 either is ineffective, meaning symptomatic AFib  
15 occurs, or it's poorly tolerated and the  
16 patient has bad side effects and wants to stop  
17 the drug, so that's what we mean by refractory.  
18 Or if you look at -- you say what are the  
19 indications for catheter ablation for atrial  
20 fibrillation according to the American Heart  
21 Association or Heart Rhythm Society, and it's  
22 symptomatic atrial fibrillation which is  
23 refractory to one class I or III antiarrhythmic  
24 drug, and refractory means you try the drug,  
25 it's not tolerated, or ineffective.

00143

1 MS. ATKINSON: But for further  
2 clarification, are you saying failed one drug  
3 or are we saying failed two drugs?

4 DR. CALKINS: It's now one or more  
5 antiarrhythmic drugs. So if we say in clinical  
6 practice, what do patients do, the way I  
7 present it to them is here's the list of  
8 procedures, here's the efficacy of the  
9 procedure, you know, you can either have the  
10 procedure or we can try the drug first. If  
11 that fails, you know, the options are, you  
12 know, you can either try another drug or you  
13 get the cath ablation procedure. And patients  
14 fall into two groups in my experience. Some  
15 patients hate drugs, the procedure doesn't  
16 bother them at all, they say let's do the  
17 procedure tomorrow, and other patients are just  
18 the opposite, and I think it really comes down  
19 to patient preference where the threshold is  
20 whether they decide to have the cath ablation  
21 procedure done.

22 DR. C. GOODMAN: Thank you.

23 Dr. Hammill.

24 DR. HAMMILL: This is probably for Dr.  
25 Wilber and Dr. Calkins. We're attempting,

00144

1 putting together with the FDA a national AFib  
2 ablation registry, and one of the issues we're  
3 struggling with is defining freedom from atrial  
4 fibrillation 12 months out or longer, and what  
5 kind of monitoring is done. I would appreciate  
6 it if Dr. Wilber would give me more detail on  
7 the type of monitoring that was done in his  
8 study, and then if Dr. Calkins could give me an  
9 idea of what Heart Rhythm Society guidelines  
10 are with regard to the type of monitoring that  
11 should be done and how it affects the  
12 interpretation of these different studies.

13 DR. WILBER: The monitoring was really  
14 a compromise between what was practicable, and  
15 I think that's always the case unfortunately.  
16 I think Hugh might mention a study they did  
17 some time ago where they tried to get the  
18 patients to call in on a daily basis, very  
19 frequently, or wear a monitor frankly for  
20 months at a time, which is the only way truly  
21 to record AFib burden. So at some point you  
22 have to have a practical compromise between  
23 what people are willing to do for an extended  
24 period of time. So they basically got an event  
25 monitor for a year; anytime they were

00145

1 symptomatic they were to use it and transmit,  
2 and then there were scheduled transmissions.  
3 The schedule was weekly for the first two  
4 months and then monthly thereafter, one could  
5 have more or less in some studies, and this was  
6 the compromise for detecting asymptomatic AFib  
7 in that population.

8 But also, I think it's very important  
9 to have a monitor available for any symptoms  
10 and interestingly, a significant amount of the  
11 symptoms people have aren't attributed to  
12 fibrillation, and so it works both ways in  
13 terms of documentation, and obviously that's  
14 important to the trial.

15 DR. HAMMILL: And for the periodic  
16 monitoring, was it with a Holter type monitor?

17 DR. WILBER: It helps with periodic  
18 monitoring, again, for a very short period of  
19 time, which prevents a patient visit, and in  
20 this study it was really just at the end of the  
21 study, one could possibly use a 24-hour Holter  
22 monitor to give just a short snapshot of a time  
23 period, but it's hard to know how that  
24 represents, so we tended to do less of that in  
25 this study. And that has varied from study to

00146

1 study, again, for detecting asymptomatic atrial  
2 fibrillation.

3 DR. C. GOODMAN: Thank you. Dr.  
4 Calkins, did you have something to add?

5 DR. CALKINS: Yes. In the Heart  
6 Rhythm Society consensus document we made  
7 several fairly stringent recommendations. One  
8 was that all clinical trials should provide  
9 follow-up data for 12 months minimum, and  
10 that's the mean follow-up we see in these  
11 studies, but every patient should follow up at  
12 least 12 months. We also set a very high bar  
13 for success where we said that success should  
14 be the freedom of symptomatic or asymptomatic  
15 atrial fibrillation or atrial flutter lasting  
16 30 seconds or more, and that we want this data  
17 presented in all patients.

18 Now the question that you bring up is  
19 if you say asymptomatic AFib, then how long are  
20 you going to look and how are you going to  
21 look, and then it comes down to the realities  
22 of what is a patient willing to do. The more  
23 you look, the more you will see. In the  
24 document, we basically said there are a number  
25 of different strategies that could be used, you

00147

1 could use Holters intermittently, every two  
2 months 24-hour Holters. You could do it  
3 continuously with event monitors, you could go  
4 every three weeks, you could do standard event  
5 monitors. So that is sort of a gray area and  
6 obviously one of the challenges of these  
7 studies is to decide what they're going to do.  
8 And I think Doug Packer in the CABANA  
9 study has set a new high level for the  
10 intensity of monitoring with monthly Holters,  
11 every three-month table reviews, and daily  
12 event monitors, so that CABANA is going to be  
13 the most highly monitored study ever, probably  
14 the most expensive study ever, and how their  
15 compliance will be we're going to find out, but  
16 all great questions.

17 DR. C. GOODMAN: Thank you. Let's  
18 make this the last question for this session.  
19 Dr. Moscucci.

20 DR. MOSCUCCI: At most in the clinical  
21 trials, there were 5,000 patients screened and  
22 only a few hundred enrolled. I was wondering,  
23 did the study include also a registry, do we  
24 know what happened to those 5,000 patients that  
25 were excluded?

00148

1 Another thing I am wondering, for  
2 those patients while they are waiting to be  
3 ablated and are continuing to have atrial  
4 fibrillation, is there any clinical trial data  
5 as to what happens in the real world?

6 DR. WILBER: I think it's a great  
7 question, and unfortunately we did not have the  
8 resources to do a registry so we did not do  
9 that for the patients that were excluded, or  
10 those who were eligible but declined to be  
11 enrolled.

12 DR. C. GOODMAN: Thank you. Dr.  
13 Yaross, on this?

14 DR. YAROSS: What I would like to add  
15 is that we are now moving into a post-approval  
16 registry that is looking at all those patients,  
17 so that we will have some answers to those  
18 questions.

19 DR. C. GOODMAN: Good. We're going to  
20 break -- one more question. Dr. Carlson.

21 DR. CARLSON: Sorry. This is a  
22 question for Dr. Reynolds. What does all this  
23 monitoring do to quality of life scores, how do  
24 you adjust for that?

25 DR. REYNOLDS: They don't correlate

00149

1 very well. That's the short answer.

2 DR. CARLSON: So do these frequent  
3 monitors affect patients' quality of life, does  
4 it lower the overall score, or you just don't  
5 know?

6 DR. REYNOLDS: I have no idea. I  
7 don't think anyone has asked that question in a  
8 scientific way, if the monitoring affects the  
9 QoL scores, so I have no idea.

10 DR. C. GOODMAN: Thank you.  
11 And before we break, Dr. Calkins, I  
12 just wanted to mention one thing, because in  
13 your talk you referred to the long lines of  
14 patients, and you would agree that while a long  
15 line of patients does tell us something about  
16 demand and certainly patient interest and so  
17 forth, it is not any type of evidence about  
18 safety or efficacy?

19 DR. CALKINS: It's clear there's no  
20 substitute for prospective randomized clinical  
21 trials, and I merely liken it to clinical  
22 trials where there is a sham, you know,  
23 replacement procedure, whatever, and that would  
24 be the ultimate. I know everyone chuckled a  
25 little bit in looking at the consensus

00150

1 document, and I concluded that this was  
2 completely impossible.  
3 The thing that I think is striking, as  
4 someone who has been doing these procedures for  
5 ten or 15 years, is when you start seeing your  
6 colleagues lining up to have you do the  
7 procedure on them, and you see the patients in  
8 follow-up, yes, you know, it's possible this is  
9 all placebo effect, I think extraordinarily  
10 unlikely because of the data showing that after  
11 catheter ablation, the quality of life is  
12 dramatically improved, and I have actually  
13 never seen a procedure like this, even when you  
14 look worldwide, whether China or Europe or the  
15 United States, a procedure this really  
16 consistent.  
17 You know, there's demand for this  
18 procedure, and ultimately it's patients who are  
19 informed about these procedures. It may also  
20 be doctors, but nowadays patients are very  
21 informed, very critical of things, and it's  
22 striking that these patients are, you know, the  
23 procedures are making these patients feel  
24 better, and they're demanding the procedure.  
25 So it's not something that I think you can

00151

1 ignore, but again, scientific data is the best.

2 DR. C. GOODMAN: I think we agree that  
3 scientific data are the best.

4 DR. CALKINS: Yes.

5 DR. C. GOODMAN: Well, thank you all  
6 very much, a fascinating session. If the panel  
7 doesn't mind, and our presenters don't mind,  
8 when we reconvene following lunch at 12:35, if  
9 our six presenters could return to these seats  
10 to make sure that we have sufficient time to  
11 have our further discussion on the discussion  
12 issues, we will proceed from there.

13 I understand that even though CMS is  
14 kind of in a closed down mode, the cafeteria is  
15 still open, and we will return to reconvene at  
16 12:35. Thank you all very very much.

17 (Recess.)

18 DR. C. GOODMAN: We've asked our six  
19 guest presenters, our two TA presenters and our  
20 presenter from NIH also to come to the front,  
21 and what we would like to do for this next hour  
22 or so is to focus specifically on those four  
23 discussion areas that we mentioned right before  
24 the lunch break, and if you don't mind, if we  
25 could just actually try to go through those

00152

1 four other areas in order, and certainly some  
2 of those will overlap. I just remind you of  
3 the four discussion questions which precede the  
4 voting questions, again, clinical comparators,  
5 population, outcomes, device characteristics  
6 and physician training. Let's have that  
7 discussion to the extent possible for the next  
8 hour, then we'll shift into the voting  
9 questions themselves. These are background  
10 discussions on those all to kind of prepare for  
11 the voting.  
12 We will plan on, or anticipate that  
13 this meeting will go to the time, originally  
14 scheduled time of adjournment, which I  
15 understand to be 4:30. And since we're going  
16 to make that assumption, we will plan a  
17 ten-minute break halfway through the afternoon,  
18 which I know comes to a great relief to our  
19 court reporter among others, but if it looks  
20 like we're on an accelerated path, we might do  
21 without the break, but let's anticipate this is  
22 going to go pretty close to 4:30. Okay.  
23 With that, we will turn to the  
24 discussion questions, clinical comparators, and  
25 I know that before the break Dr. Dullum made a

00153

1 statement about the role of surgeons and so  
2 forth. Do our panel members have any questions  
3 for our presenters with regard to the  
4 incorporated clinical comparators? And just to  
5 remind you, we're looking at the Medicare  
6 program here, what is important for Medicare  
7 beneficiaries with regard to clinical  
8 comparators, we care about that population, we  
9 care about what works in practice, comparison  
10 to actual alternatives. Dr. Umscheid, you can  
11 start.

12 DR. UMSCHEID: This question  
13 indirectly gets at that issue, and it's a  
14 follow-up to the question Ms. Atkinson asked  
15 earlier, and it's really me trying to  
16 understand who would get this therapy. So if a  
17 patient has AFib and they're treated with beta  
18 blocker and anticoagulation and they tolerate  
19 that, from what I'm hearing, I'm just  
20 wondering, would they be a candidate for that?  
21 Or is it only people who didn't tolerate rate  
22 control and then went to antiarrhythmias that  
23 weren't beta blockers or calcium blockers that  
24 would go to this catheterization?  
25 Maybe, if I could get a few

00154

1 individuals to come up and tell me if that's a  
2 correct interpretation or if that  
3 interpretation is wrong.

4 DR. C. GOODMAN: Dr. Wilber.

5 DR. WILBER: I think I will certainly  
6 speak for myself, and I think what the clinical  
7 practice is that most of us primarily deal with  
8 symptomatic atrial fibrillation, so as all  
9 procedures evolved in terms of technique, the  
10 efficacy evolved as well. We started with the  
11 highly symptomatic patients that had failed  
12 multiple drugs. As the procedures become more  
13 widespread, more standardized, more effective,  
14 and the number of drugs who failed declined.  
15 As you can see from the randomized  
16 trials, you can see that the vast majority of  
17 them had the requirement of failure of at least  
18 one antiarrhythmic drug and oftentimes not  
19 multiple ones. There are a few trials where in  
20 fact the results that you saw represented  
21 failure from a traditional class I or class III  
22 antiarrhythmic drug, and we think symptoms and  
23 drug failure or intolerance are probably the  
24 primary indications. And then again, there are  
25 patient requests, desires, and I'm a runner, I

00155

1 don't want to take drug therapy because I can't  
2 perform. So those are all failures, but I  
3 think, to summarize, I think this is  
4 appropriate. I'm sure others will have their  
5 own successes.

6 DR. C. GOODMAN: Yes, Dr. Reynolds,  
7 and again, I would ask that we try to  
8 concentrate on comparators as our first area.

9 DR. REYNOLDS: I think your  
10 formulation is reasonable and I think going  
11 back to the technology assessment, which you  
12 all reviewed beforehand, first-line therapy is  
13 a question area, so there is less evidence for  
14 first-line therapy than for second-line therapy  
15 with respect to control. So there is one  
16 trial, randomized trial for first-line therapy,  
17 and actually the results are generally in favor  
18 of ablation. But in terms of guidelines, it's  
19 not there yet because it was a single small  
20 trial, and its summary sort of reflects for the  
21 most part where we're at today.

22 DR. GOODMAN: Yes, Dr. Knight.

23 DR. KNIGHT: So, I think the point you  
24 made is very appropriate, that most patients  
25 are begun on rate control but as was mentioned

00156

1 by Dr. Hammill earlier this morning, the number  
2 of 10 percent of patients in the AFFIRM trial  
3 who could not tolerate or had to be switched  
4 over to rhythm control, that represented a  
5 group of patients who were enrolled in that  
6 trial who are clearly candidates for both arms.  
7 So I think there's many patients who after  
8 attempts at rate control, much higher than 10  
9 percent had to move on to a rhythm control  
10 strategy.

11 DR. HAMMILL: And the only reason I  
12 brought that question up in this discussion is  
13 that one of the questions I was concerned with  
14 when I began my review, the tech assessment  
15 didn't look at studies that compared ablation  
16 to rate control. And we saw the AFFIRM trial,  
17 which obviously everybody knows about, and  
18 suggested that it was equal, and then the  
19 meta-analysis talked about a mortality benefit  
20 with rate controls as compared to rhythm  
21 control.

22 DR. C. GOODMAN: How close do you  
23 think we are, Dr. Umscheid, to answering the  
24 question whether it would be an appropriate  
25 clinical comparison to catheter ablation, do we

00157

1 have an answer for that yet?

2 DR. UMSCHIED: It sounds like it's

3 basically a second-line therapy for people who

4 have failed drug therapies, beta blockers, it

5 could be beta blocker, calcium blocker,

6 antiarrhythmic, but people who have failed drug

7 therapy, and if that's the population we're

8 talking about, I think we've defined the

9 population.

10 DR. C. GOODMAN: Well, defined the

11 population, but the comparator would be those

12 drugs, right?

13 DR. HAMMILL: It would be, but unless

14 all of the patients in the studies who were on

15 antiarrhythmics on them because they originally

16 failed just a rate control strategy.

17 DR. C. GOODMAN: Dr. Packer, on that

18 point?

19 DR. PACKER: If it's appropriate for

20 me to make a comment about comparators without

21 asking a question of the group, I think that,

22 you know, the rate control issue is important,

23 but I think that that's what AFFIRM was about,

24 that's what RACE was about, that's what STAFF

25 was about, that's what AF/CHF was about. And I

00158

1 think it's unfortunate that they didn't show  
2 any particular mortality benefit when they had  
3 arrhythmic drug therapy for sinus rhythm, but  
4 the alternative is they didn't really show a  
5 deficit. So I think the comparison's there and  
6 the meta-analyses that have been done just show  
7 that it's not an excess benefit sort of thing.  
8 And that's where I was going before about  
9 masking potential effect because of the side  
10 effects of the drug, and so I think that the  
11 question at hand here presupposes that these  
12 patients have been through a rate control  
13 approach.  
14 If you look at the CABANA pilot and I  
15 was going to ask Dave, on your trial, how many  
16 patients going into that trial had already done  
17 the rate control thing? You know, you have to  
18 fail one drug and as things, you know,  
19 lightened up, then it turned out to be mostly a  
20 rhythm control drug, but I suspect that most,  
21 as with the CABANA pilot, had already been on a  
22 rate control drug as the initial foray into the  
23 treatment for atrial fibrillation.  
24 The other comment I wanted to make is  
25 one that addresses the surgical question. As

00159

1 far as the comparator goes, you know, should we  
2 really be thinking here about a trial between  
3 ablating intervention and surgery, so cath  
4 ablation versus surgical, and I think that the  
5 really simplistic answer to that is we're just  
6 not there yet. You know, since we've looked at  
7 the consensus document, and we struggled with  
8 this in designing CABANA, you can, not only if  
9 you're a surgeon but if you're a catheter  
10 ablation person, you can say that one or the  
11 other is not far enough along to get into a  
12 grudge match, you know, with defined endpoints.  
13 And so I think at this particular  
14 point, you know, the surgical comparator would  
15 be one that will undoubtedly emerge, but I see  
16 that in kind of a three-to-five-year NIH trial  
17 time frame.

18 DR. C. GOODMAN: So surgical, we're  
19 not ready for it for another five years?

20 DR. PACKER: I don't think that that  
21 trial is ready for prime time.

22 DR. GOODMAN: Okay. And then with  
23 regard to rate and rhythm, an appropriate  
24 comparator could be rate and/or rhythm,  
25 depending upon something? Frame it up for us.

00160

1 DR. PACKER: I think what I'm hearing  
2 from everyone, and this gives you a little bit  
3 of my bias anyway, there's something to be said  
4 from a standpoint of quality of life and the  
5 recurrence about sinus rhythm. Now, will we  
6 demonstrate that there's a mortality benefit,  
7 that's for CABANA to decide. In the meantime  
8 we've got all of the issues of how patients  
9 feel when they present and they've already been  
10 tried typically on a rate control drug. So I  
11 think the comparator here is to be an  
12 appropriate attempt at rhythm control with  
13 either method, so what you're doing is really  
14 talking about a treatment strategy, should be  
15 rhythm control either way. But as part and  
16 parcel of that, just reality, the patients are  
17 also going to be on rate control, so that's  
18 going to be a component.  
19 In CABANA, what we're doing is we're  
20 leaving that decision to the investigators,  
21 we're letting them choose rate or rhythm. It  
22 just turns out that about 90 percent of those  
23 in CABANA are on rate, and so that the choice  
24 for perceived benefit will improve that, and it  
25 goes in the direction of rhythm control drug

00161

1 versus ablation. So I think that these  
2 clinical trials, the six-plus that have been  
3 described, I think are reasonable trials and  
4 they got the comparator right.  
5 DR. C. GOODMAN: Okay, thank you.  
6 Dr. Dullum, a point on comparators?  
7 DR. DULLUM: Well, you know, the  
8 explanation on the surgical is fine for me.  
9 The rate control which we're  
10 discussing, you know, from the presentations I  
11 heard today from the speakers was not looking  
12 at that 10 percent who failed advanced drug  
13 therapy, which is the population I think I'm  
14 really concerned about making a CMS decision,  
15 because those are people who are going to have  
16 other comorbidities. Are they the ones, then,  
17 who are going to be treated already with the  
18 drug trials? And what I saw was in one of the  
19 trials, 106 patients had atrial fibrillation  
20 and 53 had the drug trial, and that doesn't  
21 represent 10 percent of the population with  
22 AFib.  
23 So if we're looking at your trial,  
24 whether it's effective or not, then I guess  
25 that's fine, but if we're looking at the

00162

1 age-related problems in the CMS population, are  
2 we going to be bringing this in and everybody's  
3 going to get ablation, or is it just the 10  
4 percent who actually need it? Again, I  
5 apologize for my confusion in this, but I'm  
6 just trying to make it clear what we are  
7 talking about here.

8 DR. C. GOODMAN: Any response from our  
9 speakers? It looks like Dr. Calkins.

10 DR. CALKINS: Yeah. I think when  
11 someone develops atrial fibrillation,  
12 particularly someone in the Medicare age, 75,  
13 72, whatever, shows up for a routine physical  
14 and it shows atrial fibrillation, and is  
15 complete asymptomatic, maybe that patient would  
16 be treated with anticoagulation and rate  
17 control. But if the patient shows up, you  
18 know, complaining of exertional dyspnea,  
19 fatigue, whatever, that's the patient where you  
20 know, you want to do a rate control strategy  
21 and that is drugs or cath ablation.  
22 I think Brad's point is well taken.  
23 If you take, you know, you have a patient in  
24 the Medicare age group with atrial  
25 fibrillation, how many will become asymptomatic

00163

1 with rate control alone and how many will  
2 remain symptomatic and benefit from drug  
3 therapy or cath ablation, I'm not aware of the  
4 breakdown, but I'm sure it is a lot more than  
5 10 percent, because the AFFIRM study began with  
6 candidates with rate control coming in. So I  
7 don't know if it's 30 percent or 50 percent,  
8 you know, it's a percentage, it's not all, but  
9 I think rate control is the initial step, and  
10 then if the patients remain symptomatic despite  
11 rate control, then there's a response.

12 But at least in my experience, a lot  
13 of patients fall into that group, they have  
14 fatigue, they have exertional dyspnea, and  
15 maybe they should have both.

16 DR. DULLUM: Do we know that's just  
17 from AFib, because these people have other  
18 comorbidities too.

19 DR. CALKINS: Well, you know,  
20 typically AFib shows up with quality control,  
21 exertional dyspnea, fatigue, you know, and we  
22 put them on an antiarrhythmic drug and then  
23 they come back saying, man, I feel fantastic.  
24 Well, the only thing that has changed is that  
25 they got on a drug and had a cardiac inversion.

00164

1 So, I think AFib itself results in a lot of  
2 symptoms over and above the comorbid  
3 conditions.

4 DR. C. GOODMAN: Thank you.

5 Dr. Moscucci is next, and then Dr. Satya-Murti.

6 DR. MOSCUCCI: Perhaps we should  
7 rephrase the question and say, instead of  
8 rhythm control or rate control, because when I  
9 look at these interventions I am just thinking  
10 about medical therapy, the intervention is just  
11 medical therapy. We saw what happened with the  
12 international angioplasty trial, and stop  
13 thinking about rate or rhythm control, but just  
14 make it medical therapy.

15 DR. C. GOODMAN: Medical therapy being  
16 all encompassing, and the publication would let  
17 us understand what they might have failed  
18 previously, okay.

19 DR. MOSCUCCI: Thank you.

20 DR. GOODMAN: Dr. Satya-Murti, still  
21 on comparators.

22 DR. SATYA-MURTI: The question that is  
23 really important from my point of view also,  
24 the types of patients I would be seeing in an  
25 older general neurology clinic would be those

00165

1 in whom to attribute a symptom to an abnormal  
2 rhythm is very difficult unless they have clear  
3 time defined palpitations. Many of them have  
4 other causes, diabetic control might be it,  
5 they might have been having an incidental TIA,  
6 or a focused incident from another infarct, so  
7 the identity of symptoms directly attributable  
8 to a rhythm anomaly is very loose in these  
9 patients.

10 DR. WILBER: A couple of issues. It  
11 works both ways. We spend a lot of our time  
12 with elderly patients trying to decide if their  
13 symptoms are due to AFib or not, we try to make  
14 our best guess, and sometimes we decide to go  
15 ahead and they don't respond or get better. On  
16 the other hand, there are patients that we miss  
17 that might have felt substantially better had  
18 we done something and didn't. So  
19 unfortunately, it is a matter of clinical  
20 judgment, as you indicate, so what better way  
21 to find out than to do a trial where we compare  
22 those things. So I would agree, there are some  
23 unknowns in the elderly.  
24 The other point I think that's really,  
25 it sounds like people are coming around to, is

00166

1 that the whole distinction between rate and  
2 rhythm control is completely artificial. And  
3 one of the issues when you look at the effect  
4 is that a substantial number of the rhythm  
5 control patients were in atrial fib, and a  
6 substantial number of the rate control patients  
7 were in fact in sinus rhythm, because we use  
8 the same drugs for both.

9 And speaking to a prior question, in  
10 the clinical trial the majority of patients had  
11 had prior exposure to a calcium channel  
12 blocker, a beta blocker as part of the medical  
13 treatment. So it's typically a combination and  
14 we actually use rate and rhythm controls  
15 together when we treat these patients, so the  
16 idea that we can actually separate rate and  
17 rhythm control effect, you can't, and all the  
18 clinical trials that have been done to this  
19 point have really shown that you can't really  
20 separate these two.

21 DR. C. GOODMAN: Thank you for that  
22 insight. Dr. Umscheid, I will get back to you  
23 in a second, and I ask the Tufts people to  
24 think about this as well. Given what we just  
25 discussed with regard to how rate and rhythm

00167

1 come under medical therapy, what we were  
2 talking about with regards to surgery and so  
3 forth, how would you now say that the body of  
4 available evidence as compiled by Tufts stacks  
5 up or matches up against what we've been  
6 discussing with regard to appropriate clinical  
7 comparators? Is there a good match between the  
8 evidence and what we think are the clinical  
9 comparators or is there not a good match?

10 DR. UMSCHEID: Well, I was originally  
11 concerned about the issue of rate control, beta  
12 blockers, calcium channel blockers, because  
13 when I reviewed the description of the studies  
14 included in the TA report, and when you look at  
15 the control arm and you look at the  
16 antiarrhythmic being used, I thought maybe it  
17 was a beta blocker or calcium channel blocker,  
18 but it looks like for the most part it was  
19 antiarrhythmic drugs.

20 So if, you know, it's the case that  
21 patients in these trials have only been exposed  
22 to antiarrhythmics like amiodarone, then I am  
23 concerned that we are missing the nadir  
24 comparator, which is them being exposed to the  
25 rate control drugs. But if what the panel

00168

1 alluded to is correct, which is that amiodarone  
2 may have been the last drug they were exposed  
3 to but most of these patients were exposed to  
4 beta blockers and calcium channel blockers  
5 before the amiodarone, then I am comfortable  
6 with the evidence we have. So it all depends,  
7 and I don't know who could address that.

8 DR. C. GOODMAN: Dr. Garlitski.

9 DR. GARLITSKI: So, the data that we  
10 have include patients who used antiarrhythmic  
11 agents. We were not able to separate out  
12 because the studies simply didn't always report  
13 how many of those were in addition on a beta  
14 blocker, calcium channel blocker, or any  
15 medication for rate control in addition to the  
16 antiarrhythmic drug.

17 Clearly according to ACC guidelines,  
18 the patient must have failed, in order to be  
19 called second-line therapy, must have failed a  
20 medical therapy, most commonly an  
21 antiarrhythmic drug. If you look at the ACC  
22 guidelines, rate control is also part of that,  
23 and it's above catheter ablation. So  
24 clinically, one presumes that patients are  
25 tried on rate control, rhythm control, and

00169

1 catheter ablation as a second-line therapy  
2 consistent with what those guidelines are, so  
3 that's clinically. What we could simply assess  
4 is what data stated, what was reported, and it  
5 did not separate out how many of those patients  
6 were on blocking agents.

7 DR. C. GOODMAN: Thank you, Dr.  
8 Garlitski. Dr. Carlson is next.

9 DR. CARLSON: I wanted to go briefly  
10 on what David Wilber mentioned, and it was the  
11 futility of the distinction between rate  
12 control and rhythm control. Rate control, if  
13 it's successful, and it depends on whether  
14 you're looking at the treatment or the outcome.  
15 If you have an outcome of rate control, you  
16 control the rate, yes, but you're not in fact  
17 sure. If you achieve rhythm, the patient's in  
18 sinus rhythm, you achieve both, you have a  
19 normal rhythm and your rate by definition is  
20 controlled, so it really becomes very murky.

21 DR. C. GOODMAN: Thank you.  
22 Dr. Maisel.

23 DR. MAISEL: I think we have to be a  
24 little bit careful about lumping all atrial  
25 fibrillation into one pile, and I think that's

00170

1 why we're struggling a little with the  
2 comparator treatments. I think it's analogous  
3 to asking is a stent good for coronary disease?  
4 Well, if you are able to manage (inaudible)  
5 it's not that good, but in a lot of people it's  
6 a treatment that it helps a lot. And so I  
7 think the question, the comparator would be  
8 different for different populations, and I  
9 think it's well established as a second-line  
10 therapy in patients who have tried rate control  
11 and have not been successful with that, who  
12 have tried rhythm control and not been  
13 successful at that, in who sinus rhythm is  
14 desirable, then I think the data is reasonably  
15 good. In a group of patients who presented  
16 with atrial fibrillation for the first time,  
17 then I don't think it is, and those two  
18 comparators are completely different. I might  
19 compare that latter group to rate control. I  
20 might say since you're in atrial fibrillation  
21 we might try rate control or primary ablation,  
22 or antiarrhythmia drug therapy.  
23 So I think we just need to be careful  
24 about, you know, trying to make this equation  
25 fit all of the atrial fibrillation patients,

00171

1 and we need to explicitly talk about which  
2 patients we're referring to.

3 DR. C. GOODMAN: And we're about to  
4 try to do that, so I think we've kind of  
5 capsulized that very well, Dr. Maisel, in that  
6 discussion. Thank you. Since we've been  
7 talking -- Dr. Umscheid, did you have one more  
8 comment on how we stand on comparators?

9 DR. UMSCHIED: One thing I wanted to  
10 come back to because it was mentioned before,  
11 and it's the issue of sham therapy, so is the  
12 antiarrhythmic the appropriate comparator, and  
13 instead, is a sham therapy the best comparator?  
14 And the only reason I bring that up is because  
15 so much time was spent on quality of life, and  
16 there was also a comment that a lot of patients  
17 would feel symptomatic while they're on a  
18 monitor or actually being monitored, so you  
19 know, there may be a placebo effect here that  
20 could be worth exploring. I'm just curious if  
21 anyone has any other comments.

22 DR. C. GOODMAN: Sure. We've seen  
23 sham therapy as very important in other areas.

24 DR. KNIGHT: I think sham therapy has  
25 a role here in questioning whether the therapy

00172

1 has any efficacy at all, and I don't think  
2 there's any doubt that there are patients who  
3 have atrial fibrillation many times a day in  
4 whom it can be completely wiped out, so I think  
5 the biggest issue is what percentage of the  
6 patients benefit overall.

7 DR. C. GOODMAN: Okay, thank you.

8 Population --

9 DR. REYNOLDS: Just one thought.

10 DR. C. GOODMAN: Sure.

11 DR. REYNOLDS: From a very practical  
12 perspective, a sham therapy randomized trial is  
13 never going to get done. There is now an  
14 FDA-approved catheter for this procedure, they  
15 had us screen 5,000 patients to enroll 180 or  
16 190, and that from a capital perspective is  
17 just not feasible going forward.

18 And then it was mentioned about  
19 quality of life and placebo effects. It's not  
20 universal that a list of cardiac procedures are  
21 associated with placebo effect on quality of  
22 life, and the best counter example I can give  
23 you is the COURAGE trial. In the COURAGE trial  
24 quality of life was not superior with PCI  
25 versus medical therapy over about three to six

00173

1 months, and this was bandied about in the New  
2 England Journal of Medicine, about the quality  
3 of life effects in that study. So if there is  
4 a ubiquitous universal placebo effect in basic  
5 cardiac procedures, then you can't always  
6 explain those kinds of results.

7 DR. C. GOODMAN: Dr. Umscheid, are you  
8 okay with us now?

9 DR. UMSCHEID: Yes.

10 DR. GOODMAN: With regard to  
11 population, our next discussion question asks  
12 what subpopulations of patients with atrial  
13 fibrillation should be considered for  
14 treatment, it says of, but with  
15 catheterization, and there we have about six  
16 general types of patients mentioned here. Let  
17 me say that they should comply to what the  
18 evidence might indicate to date, which might be  
19 separate from what should be examined in  
20 clinical trials. So, I'm going to ask the  
21 Tufts people to be thinking about this as well.  
22 Among those subpopulations of  
23 paroxysmal, persistent, permanent, first line,  
24 second line, what can we say, or do we have any  
25 questions for our presenters with regard to

00174

1 clarifying which among those would be  
2 appropriate for atrial fibrillation, or which  
3 among those is there evidence in support of for  
4 the catheter ablation? Questions, comments?  
5 Dr. Satya-Murti.

6 DR. SATYA-MURTI: As I have been  
7 listening to it after having done the reading,  
8 it almost seems like there is a double pump in  
9 this population, one who are pre-Medicare,  
10 symptomatic, and the symptom being directly  
11 attributable to atrial fibrillation, and then  
12 the types of Medicare patients who we tend to  
13 see more often with hypertension or sleep  
14 apnea, and diabetes, and congestive failure and  
15 so on, and it makes it so hard to relate one  
16 symptom to occurrence of an event, if we catch  
17 one or two or three fortuitously.  
18 So we might be looking at two diverse  
19 populations. Maybe CABANA will address this,  
20 but that seems to be the case. You're all  
21 advocating for one type, and then the evidence  
22 doesn't quite exist for the second type of  
23 patient we're seeing. Is that a fair summary?  
24 DR. C. GOODMAN: Dr. Calkins?  
25 DR. CALKINS: I mean, I think it's

00175

1 clear that the body of literature, and I guess  
2 the Tufts people can clarify this further, but  
3 clinically most patients with paroxysmal AFib  
4 that are less than 70 years of age, that's  
5 probably where the biggest body of literature  
6 is, or at least I think it is, as far as being  
7 crystal clear that cath ablation is a very good  
8 procedure.  
9 Then when you say let's take patients  
10 over 65, there has been, I think we saw some  
11 data earlier, about five or six or seven  
12 studies comparing the outcomes with cath  
13 ablation for AFib in the elderly, whether it's  
14 over 65 or over 70, versus the young, and all  
15 the studies have shown similar efficacy and  
16 safety in both groups of patients. So I think,  
17 you know, even though yes, we need more studies  
18 in patients who are over the age of 65 and 70,  
19 you know, right now we have a fair amount of  
20 data regarding quite confidently that cath  
21 ablation can be applied effectively and safely  
22 in elderly patients.  
23 The other point you brought up is the  
24 issue about, are patients' symptoms related to  
25 atrial fibrillation or not, and obviously that

00176

1 was mentioned when Brad said before a patient  
2 gets to an electrophysiologist, they started  
3 with their internist who tried to sort that  
4 out, or a cardiologist, and then they were  
5 finally referred for antiarrhythmic therapy or  
6 cath ablation, are the ones where there is a  
7 reasonable likelihood that it's related to  
8 AFib.

9 And when you see this in clinical  
10 practice, you see a patient like you're  
11 describing in front of you, they feel  
12 dramatically improved, before they were feeling  
13 lousy and you treated them for AFib, you've  
14 sort of completed the hypothesis, AFib in fact  
15 does cause symptoms in many patients, not just  
16 the young but also the elderly.

17 DR. SATYA-MURTI: But what you  
18 mentioned, if in fact the escalating process  
19 for preselection as to who would benefit is  
20 correct now, but if you then extrapolate that  
21 to stating that rhythm control through catheter  
22 ablation is just as good in Medicare versus the  
23 others, then that either incentivizes or  
24 energizes the patients who haven't gone through  
25 the serial escalation, the family practice,

00177

1 internist, cardiologist before getting to the  
2 electrophysiologist, so if we just assume if  
3 it's that good at the end, then it ought to be  
4 very good in the Medicare population in whom  
5 you happen to detect atrial fibrillation.  
6 DR. CALKINS: Well, I think if we look  
7 at the data, cath ablation is a second-line  
8 therapy. You start with a class I, and if you  
9 look at the consensus document, symptomatic  
10 patients who failed class I or III  
11 antiarrhythmic medication, would now be  
12 considered for cath ablation. Now very few  
13 internists that I know of start their patients  
14 on antiarrhythmic drugs, and only a small  
15 number of cardiologists feel comfortable doing  
16 that, so these patients have all gone through,  
17 at least to my mind, a reasonable stepped  
18 approach. And like our consensus document  
19 specifically says, although in exceptional  
20 cases you may consider this as first-line  
21 therapy, that is the exception rather than the  
22 rule, and this is particularly true in the  
23 elderly where first-line therapy of the elderly  
24 would be I think a pretty big leap forward,  
25 something that at least I wouldn't encourage at

00178

1 this point.

2 DR. C. GOODMAN: Dr. Calega, I know  
3 your hand isn't up, but this general line of  
4 population, we first talked about that, you  
5 pointed that out very well this morning. Do  
6 you want to comment on your confidence with  
7 regard to any of these particular  
8 subpopulations or more broadly as the available  
9 evidence might apply to the Medicare  
10 beneficiaries?

11 DR. CALEGA: I think that the  
12 evidence, as somebody has pointed out, has  
13 really been in the average age range of 55, and  
14 very small populations, subpopulations in the  
15 studies that have been presented looked at our  
16 geriatric population over 65. And I don't  
17 think, and the expert panel may disagree, but I  
18 don't think anybody looked at patients over age  
19 70, so it's very difficult to try to  
20 extrapolate beyond what has been studied.

21 DR. C. GOODMAN: Thanks. Dr. Knight.

22 DR. KNIGHT: So, we've gotten a lot of  
23 flack about the Medicare population not being  
24 represented in clinical trials, and I think  
25 that a different way to look at that is that

00179

1 reflects, I think, the clinical judgment that's  
2 already in place for the last ten years, that  
3 all patients who may meet indications by this  
4 criteria for cath ablation for atrial  
5 fibrillation aren't necessarily being referred  
6 and undergoing the procedure. So although it's  
7 underrepresented, that may actually be a good  
8 sign that there's a good system in place where  
9 clinical judgment is applied to which patients  
10 get the procedure based on other comorbidities  
11 and such.

12 DR. GOODMAN: That's a partially  
13 satisfying answer.

14 DR. CALEGA: I thought one of the  
15 speakers just said that there is a  
16 stratification process going on whereby people  
17 are seeing cardiologists, who are being  
18 referred to EPS, so if you're telling me that  
19 the geriatric population, the elderly  
20 population is underreported in these studies or  
21 they're not being studied, I would challenge  
22 that, because if you're telling me that there  
23 is a stratification process in place, then they  
24 should be going on and being referred for these  
25 studies if it's appropriate, and they should be

00180

1 represented in the studies if they are being  
2 properly referred.

3 DR. C. GOODMAN: Dr. Calkins, on that  
4 point?

5 DR. CALKINS: Yes. The question  
6 really, is there an age over which one would  
7 include a cath ablation as not going to work  
8 through a risk-benefit ratio difference. And  
9 if you look at both clinical experience and  
10 clinical trials, most clinical experience, if  
11 you look at most of us doing this around the  
12 United States, have no age cutoff, I have no  
13 age cutoff, I think it's appropriate in  
14 patients that are 85. I don't have a hundred  
15 patients over 85, but there's no age cutoff at  
16 Hopkins, I bet there's not at the University of  
17 Chicago or anywhere else.  
18 When you look at the data, it's not  
19 that the data ends at the age of 70 or 75. The  
20 data continues, and there's now been two or  
21 three published series with patients 70 years  
22 of age or older, versus, you know, stratifying  
23 them. So I, at least as I'm doing these  
24 procedures, I can see no absolute age cutoff  
25 where you say that's it, you know, you're now

00181

1 77, this isn't going to be offered to you, but  
2 what I see is a continued benefit. But  
3 obviously with any procedure, your threshold  
4 for doing a procedure on an 80-year-old is  
5 going to be different than in a 50-year-old  
6 because the 80-year-old is frailer than the  
7 50-year-old, and you want that patient to be  
8 more symptomatic and probably more likely to be  
9 paroxysmal AFib.

10 DR. C. GOODMAN: Well, there may be no  
11 clinical cutoff in practices yet, but that  
12 doesn't tell us if we have enough people in  
13 those age groups from which we might make some  
14 conclusions?

15 DR. CALKINS: Yeah, and I think it was  
16 mentioned earlier that with any therapy, the  
17 data sort of evolves over time, so yes, the  
18 procedure started being performed in a  
19 55-year-old with paroxysmal AFib, but now with  
20 increasing experience with the procedure, with  
21 FDA-approved catheters for the procedure, you  
22 know, we're sort of expanding the patients who  
23 are getting the procedure, with that we're  
24 getting the data, there's a huge number of  
25 clinical series being published, people are

00182

1 looking at that, and if you go to all the  
2 national meetings they're discussing elderly  
3 versus young, and I think we're fighting that  
4 issue. But right now there's nothing to say  
5 that this is a procedure that's inappropriate  
6 in the Medicare population.

7 DR. C. GOODMAN: Dr. Yaross, on the  
8 point of these groups, thank you.

9 DR. YAROSS: Just to state one thing  
10 that is obvious, with a mean of 55, half are  
11 over, half are under, and if you refer back to  
12 page five in the materials we presented, those  
13 studies encompass over 1,700 patients. So  
14 while the subset presented was in fact a small  
15 number, there is literature out there, albeit  
16 observational, but the results of those  
17 observational trials corroborate very well to  
18 the results seen in the more broadly aged  
19 population.

20 DR. C. GOODMAN: So the observational  
21 data amounted to the 1,700. Tufts, does the  
22 evidence address these populations? Could you,  
23 if it's possible, could you run down that list  
24 of half a dozen subpopulations, starting with  
25 paroxysmal, and if it's possible, I know it's a

00183

1 tall order right now, could you kind of  
2 summarize the extent to which you think the  
3 available evidence as you found it matches up  
4 with those half dozen subpopulations? It could  
5 be we have a whole lot, we've got nothing, or  
6 something in between.

7 DR. GARLITSKI: So, the statement I  
8 can make most clearly is the one that I  
9 presented in the summary slide, that there is  
10 moderate evidence available as a second-line  
11 therapy for patients, again, as second-line  
12 therapy, i.e., who have failed medical therapy  
13 for a follow-up period of 12 months. So the  
14 answer to that, for second-line treatment, yes,  
15 moderate evidence.

16 For first-line therapy, there was one  
17 randomized controlled trial which did indeed  
18 favor radiofrequency ablation, but we  
19 considered that as insufficient data to make  
20 any statement about first-line therapy.

21 DR. GOODMAN: Thank you. Now, do you  
22 care to comment on any of the other subgroups  
23 here, or you're not in a position to do so?

24 DR. GARLITSKI: As far as paroxysmal  
25 versus nonparoxysmal, which is how we divided

00184

1 up the types of atrial fibrillation, sometimes  
2 it was difficult to state whether it was  
3 persistent, longstanding, or to use the old  
4 term, chronic. In summary, it was difficult to  
5 separate out the number of patients. The  
6 majority were paroxysmal that were studied, but  
7 in our summary statement we do not separate it  
8 out, paroxysmal versus nonparoxysmal.

9 DR. C. GOODMAN: You did not. Thank  
10 you. Do any of our representatives have  
11 anything specific to say with regard to whether  
12 or not, aside from paroxysmal or non, there is  
13 a strong body of evidence saying, for example,  
14 persistent or permanent? Yes, Dr. Calkins?

15 DR. CALKINS: Yeah. If you look at  
16 the literature, most of the studies looked at  
17 patients comparing paroxysmal and persistent,  
18 meaning shorter duration for symptomatic atrial  
19 fibrillation. So certainly my interpretation  
20 of the literature and the meta-analysis that we  
21 performed is that the results are similar  
22 between paroxysmal and persistent patients. I  
23 think the group that starts to differ are the  
24 longstanding, more than 12 months continuously,  
25 but particularly those with continuous AFib for

00185

1 three years or more, that's the group where I  
2 think there's quite minimal data suggesting the  
3 efficacy at three years, that's where we have  
4 very very little data.

5 DR. C. GOODMAN: Great. Thank you.

6 All right. We need to move now to outcomes,  
7 and I know that we've addressed this somewhat  
8 this morning, but I don't know that we came to  
9 any resolution about it. For the Medicare  
10 program, Medicare beneficiaries, what are the  
11 outcomes of interest?

12 We talked this morning about, just to  
13 sum up quickly, the importance of sinus rhythm  
14 and how far that goes and how far it may not  
15 go. That's certainly an intermediate outcome,  
16 some might say it's a surrogate or something  
17 else. We heard a good discussion about the  
18 importance of quality of life and how that's  
19 important to patients, and at least one of our  
20 presenters contended strongly that quality of  
21 life is the most important outcome, although  
22 our ability to measure it in a valid way is not  
23 necessarily great, but yes, it seemed to be  
24 doing well enough for some of our panelists,  
25 that's fine. And I think we heard that there

00186

1 is little evidence with regard to stroke and  
2 mortality.  
3 So in the interest of the Medicare  
4 beneficiary population, we want to explore now,  
5 what are the outcomes of most interest? And by  
6 the way, just as a footnote to an earlier  
7 discussion, if you want to talk about costs,  
8 it's okay. The question was raised in the cost  
9 effective data presented by Dr. Reynolds, and  
10 yes, it's okay for us to hear and discuss that.  
11 What the Medicare program does in any coverage  
12 decision is another matter. So, do our  
13 panelists on this area have any interest? Dr.  
14 Moscucci?

15 DR. MOSCUCCI: I have a question for  
16 our consultant. We learned today that most of  
17 the patients had been enrolled in a clinical  
18 for catheter ablation for atrial fibrillation,  
19 a long-term outcome was that there was not a  
20 stroke. Do you think that eventually we will  
21 be able to say that for our Medicare population  
22 patient group?

23 DR. C. GOODMAN: Dr. Calkins is up  
24 again.

25 DR. CALKINS: I mean, right now

00187

1 there's no data that catheter ablation lowers  
2 the risk of stroke. That hypothesis certainly  
3 in the AFFIRM study didn't hold true, that  
4 there was any indication of reduced stroke  
5 risk. So in the consensus document we  
6 specifically state that a desire to stop your  
7 anticoagulation strategy should not be affected  
8 by the outcomes of the procedure, that we just  
9 don't have enough data now.

10 That said, if you take a patient with  
11 a CHADS score of zero, the guy doesn't even  
12 need to be on Coumadin, you stop Coumadin two  
13 months after the procedure, you can ask to stop  
14 Coumadin two months after for CHADS 2 patients,  
15 but right now I think there's questionable data  
16 suggesting you should stop Coumadin, I would  
17 certainly never recommend it. Actually one of  
18 the studies was from Michigan looking at this,  
19 so I think we really don't have enough data on  
20 stroke risks to cancel the procedure, and as  
21 far as I'm concerned, that's a completely  
22 independent topic, we need more data and the  
23 data is forthcoming.

24 DR. C. GOODMAN: We don't have data  
25 and that's forthcoming. Dr. Packer, is it an

00188

1 important outcome for our population?

2 DR. PACKER: Stroke?

3 DR. C. GOODMAN: Yes.

4 DR. PACKER: Absolutely. You know, we

5 have spent most of our time, I think, talking

6 about freedom from symptoms all of your life,

7 but I think if you have somebody who's already

8 had a stroke, they will tell you that their

9 quality of life, depending on how severe the

10 stroke is, is not good. So I think it's a

11 critically important issue and it's one of the

12 reasons why it's in CABANA and why it's being

13 considered in other trials.

14 This may be someplace where there's a

15 little bit of a difference in comparators,

16 though, because we don't have a lot of data,

17 and Hugh was referring to it, in talking about

18 anticoagulation. And you know, if it really

19 does something, then that will be interesting

20 and there may even be a sea change here, but

21 that's yet to be determined.

22 But I think in a Medicare population,

23 we have to keep that in mind, but it will take

24 a larger trial to tease out whether or not

25 ablation or any other intervention is going to

00189

1 have an impact on relatively uncommon events.

2 So I think, should we be paying attention to

3 stroke, absolutely. Does that mean to only do

4 it in small trials, no, it's not, and are we

5 more comfortable with things at the end of the

6 day in the CHADS 2 and above, obviously.

7 DR. C. GOODMAN: Thank you.

8 Dr. Hammill.

9 DR. HAMMILL: I think when we look at

10 the data that's been presented to this point,

11 it's pretty clear to me that we don't have

12 adequate outcomes looking at patients beyond a

13 year, so we don't know the answer on stroke.

14 The guidelines from the professional societies

15 clearly state that AFib ablation is not a

16 reason to stop anticoagulation therapy, that

17 you need to look at the patient's other risk

18 factors for asymptomatic atrial fibrillation.

19 And I think the other issue with

20 whether we look at longer-term data acquisition

21 is the generalizability of the results that

22 we're seeing from the controlled trials like

23 CABANA, which are involving the best centers,

24 or the most experienced centers in the United

25 States, with other centers that are doing the

00190

1 procedure and not participating in trials,  
2 because there are different volume levels and  
3 experience, and that is something that also  
4 needs to be tracked.

5 DR. C. GOODMAN: So Dr. Hammill, just  
6 to be quite specific about it, the outcome that  
7 matters here is? What's important to you?

8 DR. HAMMILL: The three outcomes are  
9 symptom relief, stroke and survival.

10 DR. C. GOODMAN: Symptom relief,  
11 stroke and survival.

12 DR. HAMMILL: Symptom relief is  
13 frequent enough that I think it can be answered  
14 with a smaller trial. I think that stroke and  
15 survival is infrequent enough that it requires  
16 a much larger kind of trial or registry to  
17 gather that information.

18 DR. GOODMAN: And is symptom relief  
19 the one that will be picked up by whatever the  
20 measures are that have been presented today,  
21 for example?

22 DR. HAMMILL: Yes.

23 DR. C. GOODMAN: That's very helpful.  
24 Just a moment. Dr. Dullum, I think was next.

25 DR. DULLUM: I just wanted a further

00191

1 explanation, Dr. Reynolds, on your slide, just  
2 since we mentioned costs. You had that slide  
3 out that showed the increasing -- I was  
4 actually confused, because it looked like the  
5 drug cost was lower but the hospitalization was  
6 higher, because I know these procedures are  
7 expensive. So I wasn't sure if you were saying  
8 that over time if it's purely drug treated,  
9 then eventually the costs would reach what it  
10 would cost to do one of these procedures in the  
11 hospital. Do you mind clarifying that?  
12 DR. REYNOLDS: I'm sorry, I will try  
13 to do it without even showing the slide. These  
14 were patients that were followed in a registry  
15 according to, first of all, whether -- this  
16 was, by the way, a registry of patients for  
17 first onset atrial fibrillation. There was a  
18 small group that developed permanent atrial  
19 fibrillation from the outset, so that was one  
20 category. The majority of the patients, that  
21 first episode may have terminated somehow or  
22 other, and then the patients were followed and  
23 symptomatic recurrences were reported. They  
24 were broken down into four groups, first  
25 whether they developed permanent AF, which was

00192

1 a very small group, and then those that had no  
2 recurrence, one or two recurrences, or multiple  
3 symptomatic recurrences over a period of about  
4 two years. And what was shown in the figure is  
5 that the hospital cost was a tremendous  
6 disparity between the patients who had no  
7 recurrences and the patients who had multiple  
8 recurrences. The other costs didn't vary very  
9 much. The drug costs actually did increase the  
10 more occurrences there were, but not nearly as  
11 much as hospital costs. And the point that  
12 this registry has shown is that at least 50  
13 percent of the direct cost of treatment in  
14 atrial fibrillation comes from the  
15 hospitalization, so for the Medicare program, I  
16 would think that there's little question really  
17 that hospitalization ought to be an outcome of  
18 interest, because to a great extent it drives  
19 the costs.

20 DR. DULLUM: You mentioned that people  
21 had to be hospitalized frequently to start this  
22 medication, but I'm assuming that the AFib  
23 ablation is done also in a hospitalization  
24 admission; is that right?

25 DR. REYNOLDS: Yeah. Generally most

00193

1 centers require an overnight stay, so it's like  
2 23 hours.

3 DR. DULLUM: But nowhere on the slide  
4 did you compare the procedure, the AFib  
5 procedure to --

6 DR. REYNOLDS: That was actually a  
7 registry that sort of finished collecting data  
8 just at the beginning of the AFib ablation era,  
9 so that actually none of the patients in that  
10 registry are shown with ablation.

11 DR. C. GOODMAN: So Dr. Dullum, what  
12 is our take-home point from that?

13 DR. DULLUM: Well, I was just trying  
14 to compare costs, you know, so if you show us  
15 the costs of the medical therapy, I didn't see  
16 that compared to the costs of the AFib  
17 ablation, so in my mind I was wondering what  
18 that last one was, and you clarified it.

19 DR. C. GOODMAN: So the offset was  
20 there or not there?

21 DR. DULLUM: Based on this, there was  
22 no comparison from a cost standpoint.

23 DR. C. GOODMAN: Dr. Carlson.

24 DR. CARLSON: A question for Steve.  
25 On your list there was nothing about recurrence

00194

1 or frequency of recurrence of the arrhythmia.

2 Any thoughts about that?

3 DR. HAMMILL: You mean as far as an

4 outcome?

5 DR. CARLSON: As far as the three most

6 important outcomes, or of outcomes, you listed

7 three, but recurrence of the arrhythmia was not

8 on there.

9 DR. HAMMILL: I was lumping that in,

10 because one of the reasons to do the procedure,

11 one of the primary reasons we're doing it now

12 is to improve symptoms, and so when I talk

13 about quality of life at one year, it's that,

14 rolled into it is the symptom reduction in

15 atrial fibrillation and then the other

16 perceived benefits and then the quality of

17 life.

18 DR. C. GOODMAN: Thank you.

19 Dr. Moscucci, I know you have to leave in just

20 a few minutes. We want to give you your

21 opportunity to shine one more time before the

22 taxi whisks you away. On the matter of

23 outcomes, Dr. Moscucci, is there any point that

24 you need to have clarified or emphasized with

25 regard to the outcomes? If not, that's fine.

00195

1 DR. MOSCUCCI: I think it has been  
2 answered, thanks.

3 DR. C. GOODMAN: Dr. Satya-Murti,  
4 we're still on outcomes.

5 DR. SATYA-MURTI: Dr. Hammill, I like  
6 your listing of what's important. And symptom  
7 relief, what symptoms do you specifically have,  
8 is that listed here or do you have specifically  
9 enumerated symptoms?

10 DR. HAMMILL: The symptoms to me are  
11 much more difficult to define because they are  
12 so patient-specific. We talked about this. At  
13 times you clearly recognize that the symptoms  
14 are related to do this because they're rapid  
15 palpitations associated with an irregular  
16 rhythm. There are other times when patients  
17 talk about having fatigue, lethargy, lack of  
18 energy, and that's the kind where, I think it  
19 was Dave and Hugh both commented, and it's also  
20 my practice, let's get them back to sinus  
21 rhythm and see if those symptoms clear, to see  
22 if they're related to atrial fibrillation.  
23 So I think the distinction in trying  
24 to define symptom relief is difficult, it's  
25 just what I would do as a physician is symptoms

00196

1 attributable to atrial fibrillation, and to see  
2 if the treatment provides relief. And again,  
3 it seems that the better global way to measure  
4 those is with some kind of quality of life  
5 scale.

6 DR. SATYA-MURTI: And would the  
7 comparator be designed to take into account  
8 that subjective issue of definition of  
9 symptoms?

10 DR. HAMMILL: You know, I think it's  
11 always a problem. If we look at the studies  
12 where they tried to say we'll look at symptoms  
13 related to atrial fibrillation, we'll look at  
14 palpitation, exercise intolerance, fatigue,  
15 those sorts of things. But one of the comments  
16 to be made about the whole quality of life  
17 thing is I'm just not real sure that the SF-36  
18 does a particularly good job of dealing with  
19 symptoms, and that's why these other symptoms  
20 for us have emerged. They're kind of hot in  
21 the midwest with what's going on right now with  
22 these symptom scores, and so I think the way to  
23 look at it, and this is the way we're looking  
24 at it in CABANA, is you have to have something  
25 like SF-36, but you have to have something

00197

1 more, and you have to drill down a little bit.  
2 And I agree with what's been said by a  
3 number of people about sometimes you don't know  
4 until you get them out of it, especially if  
5 they have underlying disease. If there's  
6 somebody who's got congenital valvular disease  
7 or heart disease, you don't know if it's a pump  
8 problem, there's pumping problems and  
9 electrical problems, and until you get rid of  
10 one or the other, for example by getting them  
11 back into sinus rhythm with an angiogram or  
12 stress test, you may not know. But I do think  
13 that all of these trials need to track it, and  
14 I think we need to do a better job of, you  
15 know, taking care of patients over the age of  
16 65.

17 DR. SATYA-MURTI: I agree. Just very  
18 briefly. This is a pretty important issue in  
19 that once you register someone as going into  
20 ablation therapy or any kind of treatment, they  
21 would also hand in hand be getting better  
22 medical attention for their hypertension or  
23 sleep apnea, so we have a lot of better focused  
24 medical attention to other issues too. So to  
25 what extent do they contribute to nonspecific

00198

1 symptoms, and I don't mean palpitations? It's  
2 all so unclear, they come into the medical  
3 system and then they would be demanding or  
4 deserving of more attention; isn't that true?  
5 DR. HAMMILL: Well, just to comment, I  
6 think that's true for the randomized trials,  
7 because they're followed very carefully and the  
8 study monitors and nurses make sure that their  
9 other comorbidities are taken care of. I don't  
10 think that's true for patients who fall outside  
11 the randomized trial, because they typically  
12 come into the electrophysiologist, the  
13 electrophysiologist does the procedure, you  
14 might see the patient one time in follow-up,  
15 you see the patients at three months to make  
16 sure they're doing well, just for any  
17 complications, but then their care falls back  
18 to their primary care physician or their  
19 primary cardiologist. So I don't think  
20 anything about the ablation specifically brings  
21 them in to closer attention in the medical  
22 system other than those few days around the  
23 procedure.  
24 DR. C. GOODMAN: Thank you.  
25 Dr. Dehmer, I just wanted to ask you, we have

00199

1 been discussing these three major categories of  
2 outcomes of interest, that being symptom relief  
3 broadly, including things like recurrence as  
4 measured by quality of life measures such as  
5 SF-36, we talked about stroke and we talked  
6 about survival. Is this discussion on point  
7 from your standpoint as a clinician or are we  
8 missing something?

9 DR. DEHMER: Absolutely on point.

10 DR. C. GOODMAN: It is on point, thank  
11 you. Ms. Atkinson, I was going to ask you if  
12 we're still on point, recalling your comments  
13 of late this morning.

14 MS. ATKINSON: I actually have two  
15 questions, but one question has to go back to  
16 population, if I may, in order to ask the  
17 outcome question.

18 DR. C. GOODMAN: Sure, if you've got a  
19 point.

20 MS. ATKINSON: Okay. When we think of  
21 that older population, we have two basically  
22 subgroups of people, we have the robust older  
23 adult and we have the very frail older adult.  
24 And I need clarification from the speakers, of  
25 the people that were included, just based on

00200

1 inclusion criteria for these studies, I am  
2 assuming that most of the people that were  
3 included in these studies were the robust older  
4 adult. Am I correct?

5 DR. WILBER: There's no quantification  
6 of that in the studies, but I think it is what  
7 many people mentioned, it's the filtering  
8 system, and safe to say that it's robust  
9 80-year-olds that are having ablation.

10 MS. ATKINSON: And then my outcome  
11 question is, do we know how many of these  
12 robust older adults were able to discontinue  
13 their medications, especially their beta  
14 blockers and their calcium channel blockers?  
15 If they were robust, I'm assuming that many of  
16 them probably did not have significant enough  
17 CKDs that they were able to tolerate the ACE or  
18 a diuretic to control their hypertension, and  
19 henceforth were able to discontinue the beta  
20 blocker or the calcium channel blocker, but do  
21 we know that?

22 DR. WILBER: I think from the  
23 standpoint of most of the drugs, other than  
24 Coumadin, that adds to your CHADS score, so you  
25 wouldn't stop Coumadin in following the

00201

1 guidelines which, and over age 75 is one of the  
2 criteria. So eliminating that, I think the  
3 differences in stopping drug therapy between  
4 younger and older patients, we didn't see,  
5 certainly not in the Thermocool trial, although  
6 I can't answer quantitatively, but I can tell  
7 you in my own clinical practice, it's no  
8 different, the election to stopping drug  
9 therapy in the older patients is the same as in  
10 the younger patients, other than the Coumadin.

11 DR. C. GOODMAN: Tufts team, back to  
12 you. I know you addressed most of this before,  
13 but if you could summarize for us, in those  
14 three main categories that Dr. Hammill laid  
15 out, so symptom relief as you understand it,  
16 stroke, survival, the body of evidence as  
17 examined in your technology assessment  
18 addressed those three areas how well or to what  
19 extent, kind of the high level of the three  
20 main categories?

21 DR. GARLITSKI: So, quite clearly, we  
22 do not have the mortality data other than what  
23 was reported, which was five deaths among 63  
24 trials. I can't make a comment on rate,  
25 because again, we didn't have the denominator.

00202

1 So that's the only comment that I can  
2 objectively make on mortality. And those were  
3 five deaths as far as complications go, I  
4 wanted to clear that out. The other deaths, we  
5 can't make a comment on mortality. The time  
6 frame was a 12-month follow-up so there was no  
7 data reported.

8 DR. C. GOODMAN: Okay, and stroke?

9 DR. GARLITSKI: I will just use these  
10 slides on quality of life data, I know  
11 Dr. Satya-Murti had been asking it. Our data  
12 revealed that there were three randomized  
13 controlled trials that looked at quality of  
14 life, and one retrospective study. Our  
15 strength of evidence for that, for quality of  
16 life was low, and that was because of  
17 methodologic deficiencies in the primary study.

18 Dr. Reynolds presented other data that could  
19 have been included with these trials, in  
20 addition to other ones, so I don't comment on  
21 the results of his study as he presented on  
22 quality of life.

23 We may have presented different data  
24 because we used very different inclusion  
25 criteria as to what studies we reviewed. For

00203

1 example, they had to have outcome data greater  
2 than six months, including retrospective  
3 studies of no less than 100 patients. So  
4 again, the summary from our data on quality of  
5 life, that which I had to consider was three  
6 randomized controlled trials and one  
7 retrospective study, which did indeed show  
8 improvements, but in summary the level of  
9 evidence was low because of the kinds of trials  
10 that they were and the numbers of patients.

11 DR. C. GOODMAN: And the ones that you  
12 listed were the shorter than six months and  
13 retrospective.

14 DR. GARLITSKI: Correct, six-month  
15 outcomes, plus they had to have more than a  
16 hundred patients in a retrospective study.

17 DR. C. GOODMAN: And can you comment  
18 on stroke? Do you have any comment on stroke?

19 DR. GARLITSKI: Again, what we have is  
20 that there was one randomized controlled trial  
21 that was looking at avoiding anticoagulation,  
22 there was a 12-month follow-up, 60 percent  
23 versus 34 percent, with a P value of .02.  
24 Therefore, we rated the strength of evidence as  
25 low.

00204

1 DR. C. GOODMAN: The strength of the  
2 body of evidence?

3 DR. GARLITSKI: Correct.

4 DR. C. GOODMAN: Because there was  
5 only one?

6 DR. GARLITSKI: Correct. I apologize.

7 That was indeed that one trial. In addition --

8 that was avoiding anticoagulation. Looking at

9 stroke specifically, there was a meta-analysis

10 of six randomized controlled trials, a total of

11 689 patients were evaluated, and again, the

12 strength of evidence was determined to be low

13 because the stroke event rate was not

14 systematically assessed in those six randomized

15 controlled trials.

16 DR. C. GOODMAN: Was it prospectively

17 assessed or captured in some other way?

18 DR. IP: Basically what we're saying

19 is the stroke event rate was not a primary

20 outcome, so they sort of like, if it happened,

21 they reported. They didn't tell us.

22 DR. C. GOODMAN: They were not

23 prospectively identified.

24 DR. IP: Right.

25 DR. C. GOODMAN: Thank you very much.

00205

1 Dr. Satya-Murti.

2 DR. SATYA-MURTI: Is this a  
3 homogenized stroke, because there are strokes  
4 from other causes, and a stroke from AFib is  
5 quite different than a hemorrhagic stroke, or  
6 stroke that looks like stroke on the surface.  
7 So I'm not detracting from what any of you  
8 said, but from what you mentioned, I have a  
9 feeling that multiple etiologic processes  
10 affecting stroke are probably coming into play  
11 here.

12 DR. IP: Yeah. We are not saying that  
13 the stroke is attributed to AF, we're simply  
14 saying if it was reported or not.

15 DR. C. GOODMAN: Dr. Hammill, back to  
16 the three categories. Did you just hear that  
17 there are strong bodies of evidence for any or  
18 all three of those, symptom relief, stroke or  
19 survival?

20 DR. HAMMILL: I think from my personal  
21 perspective that there is a reasonably strong  
22 body of evidence for symptom relief, not for  
23 stroke, not for survival.

24 DR. C. GOODMAN: Dr. Maisel.

25 DR. MAISEL: Dr. Hammill's list is an

00206

1 excellent list, but I hope that's not the bar  
2 we're using to decide whether or not this  
3 therapy is appropriate. I think there are very  
4 few therapies that we have, taking into account  
5 risk of stroke at the time that the therapy  
6 decision is made, I think there's very few  
7 interventions that we demonstrate mortality,  
8 although certainly there are some. So I think,  
9 I enjoyed the conversation and the discussion  
10 and I think it's an important one, but I want  
11 to draw a line in the sand for myself, that I  
12 don't think this therapy needs to demonstrate a  
13 reduction in stroke.

14 DR. C. GOODMAN: What about symptom  
15 relief?

16 DR. MAISEL: I certainly agree that  
17 symptom relief is important. I prefer the term  
18 quality of life to symptom relief, I think  
19 symptom relief is fine, but I think it's very  
20 difficult to measure in a reliable reproducible  
21 way in these trials, and for me that's more a  
22 quality of life issue.

23 DR. GOODMAN: And did you find that  
24 the quality of life is measured in a valid way  
25 thus far?

00207

1 DR. MAISEL: I think it's a  
2 satisfactory measure, and we've seen some of  
3 that data.

4 DR. C. GOODMAN: Dr. Umscheid.

5 DR. UMSCHEID: I think stroke is  
6 important because most providers, I would say  
7 in the general community, are potentially  
8 treating AFib to prevent stroke. So if you're  
9 not showing an improvement in stroke with the  
10 procedure, and there are current procedural  
11 risks and adverse event data, I think it's very  
12 important to talk about.

13 The other issue that I want to bring  
14 up is the quality of life measurement. I think  
15 there are some very objective surveys that I  
16 think a lot of us could agree would represent  
17 quality of life, things like admission to a  
18 hospital, admission to an urgent care clinic,  
19 and if we're not seeing data that shows  
20 improvement in those types of measures, then I  
21 think it's difficult to say that quality of  
22 life is improving in patients because of a  
23 particular therapy.

24 DR. C. GOODMAN: That's an interesting  
25 point that has not been made thus far today.

00208

1 You're contending if those events are not  
2 reported, that you would perhaps question  
3 whether or not the quality of life has been  
4 affected.

5 DR. UMSCHIED: Exactly, and the same  
6 goes for symptomatic heart failure as well.

7 DR. C. GOODMAN: In other words, if  
8 you think about the events you mentioned in the  
9 different facilities and so forth, as I  
10 understood you, you can count those events, you  
11 could find codes, whether they be ICD codes,  
12 what have you, so those are countable.

13 Dr. Maisel.

14 DR. MAISEL: I wanted to respond to  
15 that. Hospitalization is an excellent endpoint  
16 and worth measuring, it's very concrete, I  
17 think there would be more confidence if we had  
18 this as a measurable benefit, but I disagree  
19 that quality of life is measurable by these  
20 major events. Many patients with atrial  
21 fibrillation have quality of life issues that  
22 don't get captured in a major event like heart  
23 failure, many patients that just feel better,  
24 and that's the whole point of having these  
25 subtle questionnaires that can pick up on those

00209

1 types of issues.

2 DR. C. GOODMAN: So your conclusion is  
3 that quality of life is important in some of  
4 the ways that we discussed, but the way it was  
5 assessed was inadequate, so the way that you  
6 would get at real evidence for impact on  
7 quality of life, if not those aforementioned  
8 approaches, would be what, the type of  
9 questionnaire that you just mentioned? How do  
10 we get that data?

11 DR. MAISEL: Concerning the SF-36  
12 measurements, the SF-36 would be one that I'm  
13 fine with, but it doesn't have to be the only  
14 one. There are formal, there's a science to  
15 measuring quality of life, that's my point,  
16 like relying on hospitalization to be a  
17 measurement of quality of life, we don't need a  
18 major, you know, heart failure,  
19 hospitalizations or strokes or admission to a  
20 hospital in order for a patient to feel  
21 differently.

22 DR. C. GOODMAN: So just to integrate  
23 what we've heard here, those events which can  
24 be measured such as visits to facilities, it  
25 sounds as though the panel feels that while

00210

1 those may be important outcome measures, they  
2 may be in part indicative of quality of life,  
3 but probably not the best way to get to quality  
4 of life, and you just suggested ways that you  
5 think are better. Is that it?

6 DR. MAISEL: I can't speak for the  
7 whole, but you accurately reflected my advice.

8 DR. C. GOODMAN: That's good.

9 Dr. Dullum.

10 DR. DULLUM: Actually, just to follow  
11 up on that point, the studies that were  
12 reviewed at Tufts were ones that had good  
13 follow-up, what they considered a good study.  
14 As far as the follow-up performed with the  
15 patients, I mean, what was your loss rate on  
16 follow-up, was it 98 percent of patients that  
17 were followed up? I asked about a registry,  
18 you said that was too expensive to perform, and  
19 then as Dr. Packer just clarified, you had said  
20 that in a big center you do the procedure and  
21 then they go back to the cardiologist or  
22 primary care physician. And I'm worried about  
23 the follow-up too. If the patient goes to  
24 another hospital and unless the doctor calls  
25 and says hey, your patient is here, we don't

00211

1 know.

2 So following up sort of on Dr. Maisel,  
3 if we don't have that follow-up somewhere in a  
4 registry, we may not know what the actual  
5 complication rate or the improvement in quality  
6 of life is, or readmissions, so that's  
7 something that I think in the papers that you  
8 evaluated, you did have a requirement for at  
9 least some follow-up; is that right?

10 DR. IP: I don't have those actual  
11 numbers off the top of my head, but we have a  
12 grading criteria for dropout rate, and if it's  
13 greater than 20 percent dropout, it got  
14 downgraded.

15 DR. DULLUM: Right, because when we  
16 look at the data for these readmissions, we  
17 want to have an accurate number for them.

18 DR. C. GOODMAN: Thank you, good  
19 point. Dr. Hammill first.

20 DR. HAMMILL: Just to comment on what  
21 you just brought up, I think one thing we're  
22 looking at with the national AFib ablation  
23 registry is to assess quality of life with  
24 another way of doing it, which is the Canadian  
25 scoring system, which is analogous to a heart

00212

1 failure class I, II, III, IV, with regard to  
2 their symptoms, so that's another thing we  
3 would like to track.

4 And there is -- now, with what Dr.  
5 Packer is talking about is the extent of the  
6 registry, and that's best if you follow the  
7 patient to the nth degree in a randomized  
8 trial. In the ICD registry we're following  
9 450,000 patients now, and if we enter the data  
10 at the time of the procedure and the implant,  
11 then matching their data with Medicare claims  
12 data gives us information on subsequent  
13 complications, adverse outcomes,  
14 rehospitalizations and those other issues.  
15 That is a much easier way and cheaper way to  
16 track patients.

17 DR. DULLUM: So they would be merged  
18 in that way?

19 DR. HAMMILL: They would be merged in  
20 with the registry as a way of getting a  
21 follow-up.

22 DR. C. GOODMAN: Dr. Calkins, on that  
23 point?

24 DR. CALKINS: If you're an internist  
25 treating a patient with AFib to prevent stroke,

00213

1 you don't -- but certainly a cardiologist  
2 doesn't treat a patient simply trying to change  
3 the risk of stroke because it's never been a  
4 proven symptom relief, and we anticoagulate and  
5 if you have a patient with AFib then there are  
6 guidelines that you follow, you know, the CHADS  
7 score, and then symptom relief. So the reason  
8 I treat patients for AFib, while following the  
9 guidelines for anticoagulation, but I'm  
10 treating them for quality of life issues.  
11 And I think one of the important  
12 things in terms of the Tufts data, why their  
13 data sort of said this quality of life data  
14 wasn't as robust as it was in, for example, the  
15 Thermocool study, which is a prospective,  
16 multicenter, 12-month follow-up, you know,  
17 study that showed the value of quality of life.  
18 It wasn't included in the analysis, it hasn't  
19 been published yet, but you saw it here today.  
20 The FDA panel saw it when they approved the  
21 Thermocool. So I think it's important to  
22 realize the Tufts analysis was done at a  
23 certain point in time, and there's been new  
24 data since then that you're having the benefit  
25 of, you know, considering.

00214

1 DR. C. GOODMAN: Thank you.

2 Dr. Carlson, I skipped over you before, I'm  
3 sorry.

4 DR. CARLSON: To what extent do we  
5 know about the correlation between recurrence  
6 of atrial fibrillation, particularly  
7 symptomatic recurrence, and quality of life?  
8 Is one necessarily asserted or recommended?

9 DR. REYNOLDS: We don't know enough.  
10 There have been a couple of series, the one  
11 that comes best to mind is the European, that  
12 tracks quality of life in a cohort of patients  
13 after atrial fibrillation, and it's the only  
14 one I know of that separated the patients  
15 according to whether they had recurrences or  
16 not recurrences.

17 Earlier today we heard about the  
18 inexact science and the measurements, and  
19 that's a problem in and of itself, but in that  
20 one European study there were in fact quite  
21 large and statistically significant improvement  
22 in quality of life with patients with no  
23 recurrences, and in patients with recurrences  
24 there were still improvements in quality of  
25 life, they were much smaller and not as

00215

1 significant as the one in the published data,  
2 but there is insufficient evidence overall on  
3 that question.

4 DR. C. GOODMAN: Dr. Reynolds, though,  
5 if all the evidence we had, if all the good  
6 evidence we had was on recurrence, that was  
7 everything you had, would you, should Medicare  
8 consider that as a sufficient body of evidence  
9 on that outcome and that outcome alone, to  
10 provide specific coverage for this procedure,  
11 if that was all the evidence we had?

12 DR. REYNOLDS: If you're asking my  
13 personal opinion, my personal opinion is, I  
14 think you need both.

15 DR. C. GOODMAN: Both?

16 DR. REYNOLDS: You need information to  
17 assess the value or the effectiveness of this  
18 intervention. The goal of treatment for atrial  
19 fibrillation is to maintain, the mechanistic  
20 goal, as far as we understand it, is to show  
21 that you improved quality of life without  
22 showing a change in the rhythm status. People  
23 aren't going to trust that, people want to see  
24 more, and we knew that, but to show an  
25 improvement in rhythm control without showing

00216

1 that transfer to some of those outcomes that  
2 are meaningful to patients, I think that's also  
3 insufficient. That's my opinion.

4 DR. C. GOODMAN: Okay. This is  
5 important for us to consider as a panel, so if  
6 all -- okay. Dr. Calega, and then Ms.  
7 Atkinson. If all we show, if all we had in the  
8 way of good evidence was that we knew how to  
9 manage sinus rhythm, period, without the  
10 quality of life data, would that suffice as an  
11 outcome of interest?

12 DR. CALEGA: I think that we have to  
13 have multiple endpoints, so quality of life is  
14 important. Rhythm versus rate, the  
15 rehospitalization, I think that's a very  
16 important measure that we should be measuring  
17 going forward, whether it's part of a registry,  
18 whether it's part of a clinical trial  
19 prospective, or if you look at what's available  
20 retrospectively. Hospitalization is very key  
21 when you talk about quality of life, when you  
22 start to talk about costs, but then it gets to  
23 the definition of what is a hospitalization.  
24 As we were just talking here, does  
25 hospitalization include observations, so we

00217

1 need to be clear on the status of the  
2 hospitalization, but rehospitalization is a  
3 very key measure that CMS is looking at and  
4 that private insurers are looking at as well.

5 DR. C. GOODMAN: So in our sort of  
6 basket of outcome measures that we've talked  
7 about so far, we have symptom relief, stroke,  
8 survival, hospitalization, which may include  
9 health care visits, and symptom relief may  
10 include something called quality of life, or  
11 overlapped with it, but recognizing the  
12 importance of quality of life in and of itself.  
13 It sounds as though management of sinus rhythm  
14 in and of itself in the absence of improvement  
15 in quality of life would be insufficient. I  
16 think that's where the discussion is roughly  
17 now.

18 What are we missing or what else do we  
19 need to know, Ms. Atkinson?

20 MS. ATKINSON: I don't know if we're  
21 missing anything. I think one of the things  
22 that we haven't talked about, though, is if we  
23 can't reduce medications after ablation, then  
24 we need to follow them because the side effect  
25 of these medications definitely impacts quality

00218

1 of life, and not only the side effects but the  
2 cost of the drugs. And when it comes to side  
3 effects, some of these patients with calcium  
4 channel blockers, that can make a pretty  
5 miserable quality of life in some of these  
6 older adults, just that medication alone.  
7 Depression and fatigue with beta blockers  
8 definitely impacts quality of life. So if  
9 we're not able to discontinue some of these  
10 medications, then quality of life is still  
11 impacted.

12 DR. C. GOODMAN: Thank you very much.  
13 Dr. Hammill and then Dr. Packer.

14 DR. HAMMILL: I just want to correct a  
15 misunderstanding. When we talked about not  
16 being able to stop medications after catheter  
17 ablation, it's just the Coumadin, warfarin, so  
18 the beta and channel blocking drugs, those are  
19 all discontinued.

20 MS. ATKINSON: But is there enough  
21 evidence to show that they need not be  
22 continued in that population?

23 DR. HAMMILL: Well, I don't know  
24 whether there is enough evidence to say that,  
25 but it's pretty standard practice once the

00219

1 ablation is done and patients are beyond that  
2 three-month window that the drugs are stopped,  
3 and I think when you look at the data that Hugh  
4 presented, they talked about 71 percent of the  
5 patients were free of atrial fibrillation and  
6 antiarrhythmic drugs at one year.

7 MS. ATKINSON: Are they having to stay  
8 on the calcium channel or beta blocker for  
9 hypertension?

10 DR. HAMMILL: Yes, they might, though  
11 it's not related to the atrial fibrillation.  
12 So they may need a medication for another issue  
13 such as treatment of their hypertension. But  
14 one of the advantages is that having had the  
15 ablation, they may be able to switch now to an  
16 ACE inhibitor because you no longer need to do  
17 the combined rate control plus the blood  
18 pressure, and now you can focus just on blood  
19 pressure.

20 DR. C. GOODMAN: So where does that  
21 leave us in regard to your issues?

22 MS. ATKINSON: The same. I mean, it's  
23 the same. We need to discontinue those  
24 medications, that's great.

25 DR. C. GOODMAN: Good, thank you.

00220

1 Dr. Packer.  
2 DR. PACKER: I might have missed  
3 something here, but I was just going to add  
4 something to your comment about management of  
5 sinus rhythm, or getting there by itself in the  
6 absence of quality of life indicators. You  
7 still have this whole issue of the contribution  
8 of asymptomatic atrial fibrillation to stroke  
9 risk, and if you still have that contribution  
10 issue now, as Hugh said, if they're CHADS 0 or  
11 CHADS 1, we might be able to stop the  
12 anticoagulation. If they're CHADS 2 and above,  
13 we keep going with the guidelines.  
14 But there's that interim group where  
15 as near as we can tell, they are free of atrial  
16 fibrillation from the standpoint of, you know,  
17 quality of life measures, but I still think  
18 knowing whether there's sinus rhythm or not,  
19 whether there's ongoing underlying atrial  
20 fibrillation plays a role in that, and so we  
21 need to know more about that from other trials  
22 and that sort of thing, for sure, but I  
23 wouldn't underestimate the importance of that.  
24 And the one place, and this didn't  
25 come out, that does look at quality of life,

00221

1 and this is a publication that's coming out,  
2 that the whole Coumadin issue had a huge impact  
3 on the patient's quality of life independent of  
4 everything else, so I'm not sure you can just  
5 cut off that, I think there's more to the  
6 combination than that.

7 DR. C. GOODMAN: Dr. Packer, in and of  
8 itself, management of sinus rhythm would or  
9 would not suffice as being a useful outcome of  
10 interest for the Medicare population?

11 DR. PACKER: I think it's problematic  
12 because then you get into the whole cascade of  
13 how hard are you going to look, who's going to  
14 pay for it, what's the intensity of monitoring.  
15 So inherent in what I've just said is there's  
16 some complications in really trying to find,  
17 but I think from an academic standpoint, it's  
18 important.

19 DR. C. GOODMAN: Thank you.  
20 Dr. Reynolds.

21 DR. WILBER: I'm Dr. Wilber.

22 DR. C. GOODMAN: Pardon me, Dr.  
23 Wilber.

24 DR. WILBER: Just to answer the  
25 question about the drugs, there's pretty good

00222

1 evidence, six randomized trials, including the  
2 clinical trials, and in all but one, the use of  
3 antiarrhythmic drugs by patients for all causes  
4 was five percent or less, so that in fact is  
5 extremely unusual. So I think at least when  
6 you look at the database, you know, it's hard  
7 to say what people are doing, but at least when  
8 you look at the trial database evidence, that's  
9 pretty much success, with only one exception  
10 out of all of those.

11 DR. C. GOODMAN: Great, thanks,  
12 Dr. Wilber. Dr. Packer, I want to return, and  
13 we'll start with you as kind of our fall person  
14 to answer this question, and then if you don't,  
15 if you've got kind of a blank space, we'll go  
16 to someone else. I just want to run down our  
17 list of kind of our current basket of outcomes  
18 that matter in the Medicare population, and if  
19 you could comment on how long we have to wait  
20 to figure out whether we've got an outcome  
21 difference or not. For example symptom relief,  
22 for trials where you've had an intervention  
23 with symptom relief, are we talking days,  
24 weeks, months or years to see if symptom relief  
25 has been achieved and whether it's been

00223

1 sustained?

2 DR. PACKER: I think that that has  
3 most to do with underlying events, you know,  
4 what was the event rate in the first place. If  
5 you're having a symptomatic event that occurs  
6 once every six months, then the intervals  
7 you're going to have to look at to decide  
8 whether we have an impact on that may be double  
9 or triple that. So if you have someone with  
10 paroxysmal atrial fibrillation and they're  
11 having daily episodes, you may not need more  
12 than two days to kind of figure that out. So,  
13 I think that that's a classical events rate  
14 combination.

15 DR. C. GOODMAN: So it may be a matter  
16 of days or weeks, or in some cases months?

17 DR. PACKER: Yes.

18 DR. C. GOODMAN: Okay. Stroke, if  
19 we're trying to measure the impact of these  
20 things on stroke, it's years, is it not?

21 DR. PACKER: Yeah, stroke is tough.  
22 Stroke is tough because the event rates are  
23 small. Stroke is tough because we can quibble  
24 about whether atrial fibrillation has anything  
25 to do with it or whether it's underlying risk

00224

1 factors or vascular events or those kinds of  
2 things, so I mean, I think stroke is in the  
3 bailiwick of a longer trial.

4 DR. C. GOODMAN: Just in the Medicare  
5 population, two years, five years, ten years?

6 DR. PACKER: If you're trying to  
7 decide whether there is an event that is  
8 related to a therapy sort of thing, then I  
9 think you might be in the two or three-year  
10 time range. But if you're talking about the  
11 likelihood or prevalence of a stroke that's  
12 going to occur because of background factors in  
13 conjunction with whatever the therapy is, it  
14 may take five to ten years.

15 DR. C. GOODMAN: Okay, thank you. And  
16 again, this is one of our questions to discuss,  
17 survival, same thing, is a long-term issue?

18 DR. PACKER: Yeah, survival is a  
19 long-term issue, again because of event rates.  
20 So if you're looking at the firm type of event  
21 rates, you're thinking that the mortality rate  
22 is going to be someplace between, you know,  
23 four percent per year, you know, and you have a  
24 trial that lasts a median follow-up that is,  
25 say 36 months, that's a fair number of events,

00225

1 that's 12 percent in that, and then you try to  
2 look at that with some other therapy, and then  
3 you would expect that you could see something  
4 over a two or three, maybe four-year time  
5 frame. And if you think your event rates on  
6 mortality are down in the Athena range, then  
7 the event rates in your comparator, the  
8 catheter ablation in this case, would also have  
9 to be lower, and that likely would be from the  
10 standpoint of background therapy. So you're  
11 still looking at a three to five-year trial,  
12 and that's why it's going to take as long as  
13 it's going to take.

14 DR. C. GOODMAN: Okay. Dr. Calega,  
15 hospitalizations, other sorts of visits, how  
16 soon do we start picking this up in a way that  
17 reflects the impact of our therapy on the  
18 treatment, is this next week, the next day,  
19 months, years, what is it?

20 DR. CALEGA: It could take months to  
21 get that information based on whether  
22 rehospitalization occurs after some time frame  
23 with the ablation. So it could be days if a  
24 patient is readmitted, but giving a blanket  
25 period of 12 weeks, I think you would have to

00226

1 look at three months and later for when  
2 rehospitalization would occur.

3 DR. C. GOODMAN: Three months and  
4 later. Okay.

5 Ms. Atkinson, on the matter of  
6 medications, you were interested, as I  
7 understand it, on whether the use of one of  
8 these interventions might affect the need for  
9 other medications. How soon do we know that,  
10 if there's an impact?

11 MS. ATKINSON: If, as Dr. Hammill  
12 said, according to most of the research is  
13 three months, then three months.

14 DR. C. GOODMAN: Okay. Dr. Maisel and  
15 Dr. Umscheid, back to the quality of life, and  
16 we've had interesting comments about that.

17 Dr. Maisel, quality of life, as you were  
18 explaining it to us, how soon after this kind  
19 of intervention would we start to see some of  
20 these particular effects? How long should we  
21 be watching?

22 DR. MAISEL: I think you can see an  
23 effect on quality of life very quickly, within  
24 days or weeks. Certainly there is evidence to  
25 suggest that it can take several months for

00227

1 effects to show from an ablation procedure, so  
2 I would think that something in the six to  
3 12-month range would be appropriate.

4 DR. C. GOODMAN: So the six to  
5 12-month period should encompass the impact  
6 about which you spoke earlier?

7 DR. MAISEL: Yes.

8 DR. C. GOODMAN: Dr. Umscheid,  
9 anything to add?

10 DR. UMSCHIED: No, I think I would  
11 agree with that, and I also agree with the  
12 three-month hospitalization.

13 DR. C. GOODMAN: Great. Our  
14 presenters or teams from Tufts, or  
15 Dr. Rosenberg, did you hear anything just now  
16 about durations to capture important impacts on  
17 these measures of outcome with which you  
18 quibble, or something you would like to add to  
19 that?

20 DR. REYNOLDS: Just very briefly  
21 regarding hospitalization, I think probably one  
22 to two years is a better time frame than three  
23 to six months, and the reason for that is that  
24 there is throughout this trial a reintervention  
25 rate, and that rate is probably in the

00228

1 neighborhood of 20 to 25 percent right now, and  
2 if you've got ablation on hospitalizations,  
3 you've got to go beyond that period. So a  
4 minimum of a year, but I think two would be  
5 better.

6 DR. C. GOODMAN: Good, that's very  
7 helpful. I just want to remind the panel, one  
8 of the important issues we're going to try to  
9 capture now is one of our questions later on,  
10 and that is what research needs to be done and  
11 we're going to want to know vis-a-vis the  
12 outcomes of interest. Okay. Did we miss  
13 anything very very important about our  
14 discussion for outcomes? Did we miss anything?  
15 Dr. Packer, yes?

16 DR. PACKER: Just one thing. We have  
17 been at this, we're talking here about the time  
18 that it takes to measure an outcome, but it  
19 also depends on what the sample size is for  
20 what you're doing, and so it may take less time  
21 if you've got a huge population to look at, or  
22 it may take a lot more time if your study group  
23 is small and the event rates are small.

24 DR. C. GOODMAN: Great. I should have  
25 clarified that. We're kind of looking at the

00229

1 natural history of how things might unfold in  
2 order to attach a significant number percent  
3 and gather up all the patients and so forth.

4 Point well taken, thank you.

5 We're going to cover one more thing on  
6 device characteristics and physician training,  
7 and what we'll plan to do is, I hope no later  
8 than 2:20, is take a 10-minute break just for  
9 comfort purposes.

10 Let's move, then, to device  
11 characteristics and physician training. We  
12 heard some discussion about this issue this  
13 morning and our first discussion question here  
14 is, what is the importance of the varying  
15 devices and techniques used for ablation? I  
16 think we heard a little bit about that this  
17 morning from the Tufts preparation. Panel, any  
18 questions or concerns that you want to raise  
19 with regard to the importance of varying  
20 devices and techniques? Yes, Dr. Dullum.

21 DR. DULLUM: Well, I guess it's the  
22 same discussion about the lesion sets and  
23 making that homogenous, I think we discussed  
24 that enough, I just want to voice that as a  
25 concern. I just thought about the different

00230

1 energy sources because cryotherapy, I know  
2 there was a presentation, and that has been a  
3 longstanding, that we don't get better trans  
4 neutrality, but that's not really in this  
5 discussion, it's just one of the devices that I  
6 think we should look at.  
7 And operator experience, I know there  
8 wasn't enough evidence based on what you guys  
9 evaluated, but that's certainly, I think that's  
10 true for most highly technical procedures, and  
11 this certainly is a highly technical procedure,  
12 so I think it's something we need to address.  
13 So the bottom line is, two things we  
14 need to address. Energy source which, I don't  
15 know if that's out of the realm of this  
16 discussion today, but I did hear that there's  
17 one trial going on with that now, and also  
18 operator experience.

19 DR. C. GOODMAN: Thank you. Yes,  
20 Dr. Dehmer.

21 DR. DEHMER: I guess I'm having a  
22 little trouble with the experts here. This is  
23 a very rapidly changing technical field, and  
24 how the procedure is done today is much  
25 improved from the way it was five years ago,

00231

1 but is this technology going to plateau at some  
2 point where, you know, our ability to treat  
3 with catheterization, we'll say this is as good  
4 as it's going to get? Does anybody see it  
5 plateauing in the next five years?  
6 DR. C. GOODMAN: Dr. Calkins.  
7 DR. CALKINS: If you look at the  
8 field, you're absolutely right. Certainly over  
9 the last decade it has changed dramatically,  
10 the tools are a lot better, our understanding  
11 is a lot better. What I see now is a  
12 plateauing of the rate of sort of, you know,  
13 new breakthrough approaches is diminishing very  
14 dramatically and now you know you've got to  
15 isolate veins, and you've got to do it so it's  
16 affirmative. There's some remaining questions  
17 about blading other areas, CAFEs and other  
18 areas, but I sort of see that we're now at a  
19 plateau. I hope we're going to get better  
20 because we want our success rate to go up  
21 further and our complication rates to drop  
22 further, but I think we're now at sort of a  
23 plateau.  
24 In terms of training, I think that's  
25 something that is really very important. In

00232

1 terms of our data, there is at least one  
2 published study comparing, or at least looking  
3 at the effect of operator experience and also  
4 institutional experience, and the assumption  
5 was that if your center has done a hundred of  
6 these, they get better outcomes than in centers  
7 that have done less, which I guess is sort of  
8 obvious. In the consensus document we  
9 recommended that some of the centers start  
10 doing these things, you know, they need to get  
11 training during fellowship and doing, I think  
12 it was at least 25 procedures during their  
13 training, and then maintain a certain frequency  
14 at least, you know, at least one every several  
15 months, sort of five per year or six per year.  
16 But I think that's really critical, operator  
17 experience and training and that sort of thing.  
18 And certainly speaking for the  
19 electrophysiologists that are trained to do  
20 that procedure, I think that's who is doing the  
21 procedure now, and I think that's very very  
22 important.

23 DR. C. GOODMAN: Dr. Calega first,  
24 then Dr. Carlson.

25 DR. CALEGA: Just a follow-up

00233

1 question. Given that training and experience  
2 are critically important in the success rate of  
3 this procedure, do you believe that the  
4 procedure should be done at centers of  
5 excellence, designated centers of excellence  
6 and not in your local community hospital?  
7 DR. CALKINS: That's an interesting  
8 question. There's been analyses done looking  
9 at the number of patients who are candidates  
10 for this procedure and the number of  
11 experienced, at least highly experienced  
12 electrophysiologists that do this procedure,  
13 and there's a tremendous gap. So if one says  
14 that only centers of excellence should do the  
15 procedure, we will never increase the number of  
16 centers doing the procedure and we'll never  
17 address the unmet needs for cath ablation. In  
18 every major center of excellence that I'm aware  
19 of there's a three to 12-month waiting list to  
20 get the procedure done right now.  
21 So what's happening now is we're  
22 training fellows in the larger centers that are  
23 being hired in the smaller centers, and I think  
24 provided they have an institutional commitment  
25 to this procedure and they have been trained

00234

1 well, the institution is committed to back them  
2 up, in the consensus document it's recommended  
3 that every center should track their outcomes  
4 so they know what their own safety and efficacy  
5 is.

6 So it clearly has to do with  
7 commitment and training, at least to have the  
8 availability for surgical backup, not  
9 necessarily having an angioplasty surgeon  
10 sitting there scrubbed, but I think it is a  
11 commitment to the procedure. But no, I don't  
12 think it needs to be restricted to the centers  
13 of excellence.

14 DR. C. GOODMAN: Thank you.

15 Dr. Carlson.

16 DR. CARLSON: I had a question about  
17 the implications of the question itself. So  
18 the question about lesion sets and the approach  
19 is the implication that if one didn't follow a  
20 particular procedure for doing the ablation or  
21 one added a lesion somewhere, that one would  
22 not be compensated for the procedure? That's a  
23 pretty significant implication. And if that's  
24 not the implication of the question, what's the  
25 importance of the question?

00235

1 DR. C. GOODMAN: I'm not reading that  
2 into the question. I think that we do want to  
3 hear anything about the difference in devices  
4 and techniques, and we've heard some of that  
5 from Tufts, but with regard to the procedure  
6 being limited, this panel is in no position to  
7 make a coverage decision at all, but we are  
8 interested in understanding the relationship  
9 between physician training and perhaps things  
10 like outcomes.

11 DR. CARLSON: Yes, I understand. In  
12 terms of lesion sets, it's my experience that  
13 different centers have different approaches and  
14 may have very similar outcomes, and even within  
15 some centers, and many of these guys can talk  
16 about it better than I, the approach taken with  
17 one patient may be a little different than  
18 another because they have some difference in  
19 their anatomy or their underlying symptoms,  
20 somebody may have atrial flutter as well as  
21 atrial fibrillation.

22 DR. C. GOODMAN: Dr. Wilber, on that  
23 point.

24 DR. WILBER: I would just add a nuance  
25 to perhaps what Hugh said. I'm not sure we're

00236

1 quite at a plateau, I think there's still all  
2 sorts of issues, but maybe we're at a plateau  
3 with the number of new energy sources we use,  
4 but in terms of technological applications, how  
5 we apply it, durability, that sort of thing, I  
6 think we have a lot to learn yet and I don't  
7 think we're at a plateau. So I wouldn't want  
8 people to leave today thinking that somehow  
9 we're at the final state concerning the  
10 technology that we're using, and it's just a  
11 matter of deciding which patients should be  
12 treated, because it's far more complicated.  
13 DR. C. GOODMAN: The history of  
14 technologies would concur with your statement.  
15 On this point, Dr. Calkins?  
16 DR. CALKINS: On this issue about,  
17 does everyone get the same procedure or a  
18 different procedure, and it was interesting.  
19 If you asked that question five years ago,  
20 there was a lot of uncertainty, and there was a  
21 tailored approach to AF fibrillation under the  
22 concept that everyone needed kind of a  
23 tailor-made suit to give to them. And I think  
24 now we've learned that the tailor-made approach  
25 doesn't work and basically everyone is getting

00237

1 their veins isolated. Your point is well taken  
2 that if we see triggers, you might isolate them  
3 as well, so we will do that, but I think the  
4 cornerstone of ablation as isolating those  
5 veins is very much established today. Whether  
6 it was not ten years ago or five years ago, and  
7 I would say that in 99 percent of worldwide  
8 centers today, when a patient leaves the lab,  
9 all four veins have been isolated, so I think  
10 we really have moved to the point of consensus.  
11 And then when you talk about the finer points,  
12 what are the lines, there's still some  
13 evolution, but the underpinning I think is  
14 quite well established. Thank you.  
15 DR. C. GOODMAN: Dr. Maisel.  
16 DR. MAISEL: I just have a response to  
17 Dr. Carlson's point, why is the question here,  
18 and I actually feel that it's a very important  
19 question, meaning that if we felt the evidence  
20 showed that one technique was not working  
21 effectively, then we should be steering people  
22 away from that. I don't think the evidence  
23 shows that, and I think the consensus probably  
24 is that there's no one technique that's better  
25 than another, as long as the pulmonary veins

00238

1 are basically isolated.

2 And also, I think on the issue of the  
3 varying devices, I think we should be looking  
4 at procedural endpoints, and so I think a  
5 device that provides the pulmonary vein  
6 isolation, from a reimbursement standpoint,  
7 should be considered satisfactory.

8 DR. C. GOODMAN: Two very good points.  
9 Dr. Hammill is next.

10 DR. HAMMILL: Two points regarding who  
11 should be doing the procedure. So, I've been  
12 doing for EP for 30 years and I've been in the  
13 Mayo lab for 20 years, and this is by far the  
14 most complex procedure we do in the lab,  
15 complex enough that I never took it up because  
16 I didn't think I had the skill set to do this  
17 procedure, so I think it's a very very  
18 challenging procedure.  
19 I think it is something we can track  
20 in the ICD registry. We've published a paper  
21 in JAMA relating outcomes and device selection  
22 with training, and we showed that  
23 electrophysiologists had better outcomes than  
24 surgeons and cardiologists with regard to ICD  
25 implantation. So I think this is one,

00239

1 especially this procedure needs to be in the  
2 hands of the trained individuals.  
3 DR. C. GOODMAN: Dr. Hammill, though,  
4 did you hear anything today that would provide  
5 any evidence?

6 DR. HAMMILL: I have not, so I think  
7 it's all our opinion, but I think it's  
8 something that is definitely trackable in the  
9 future and would be something that we would --  
10 but I think it's something that needs to be  
11 promoted.

12 DR. C. GOODMAN: Other comments?

13 Dr. Umscheid, yes.

14 DR. UMSCHIED: I didn't see any data  
15 on the number of procedures and efficacy in  
16 reducing symptoms or other outcomes, but there  
17 was some data from Bradley about number of  
18 procedures and adverse events, and it looked  
19 like there was more difference between  
20 individuals who performed fewer than 50 versus  
21 those who performed more than 50. I assume  
22 those adverse events were measured in a  
23 systematic way and I'm assuming all those  
24 people were specialty trained.  
25 The other issue as part of the

00240

1 learning curve here is in terms of volume per  
2 center. It looks like centers that did  
3 procedures, after the first 100 cases have a  
4 lower adverse event rate than their first 100  
5 cases, so just something to keep in mind.

6 DR. C. GOODMAN: Good, thank you.

7 Dr. Packer, on that issue.

8 DR. PACKER: I think I'm going to  
9 actually have a question to Hugh that weighs in  
10 on this issue of sort of the numbers on the  
11 outcomes side and then on the safety side. You  
12 have been part of both the first and second  
13 international registries and you've also done  
14 meta-analyses. I think that there are data in  
15 the registry on that particular issue, and I  
16 wonder if you could comment on it or give us  
17 your interpretation of it of what you think we  
18 know based on the fact that we've got the  
19 meta-analysis done on this registry, and how it  
20 contributes to this issue.

21 DR. CALKINS: Yes, two points. The  
22 registry has two different looks at the data  
23 that basically shows the relationship between,  
24 you know, operator experience and outcomes, and  
25 I think that's where the hundred data comes

00241

1 from, centers that have done a hundred or more  
2 have better outcomes than those that haven't  
3 done a hundred, with regard to the Sprague  
4 paper that was from our institution, so the  
5 thing that was standard was the same  
6 institution that does a lot of backup things  
7 like technical staff, equipment, status reports  
8 and so forth.

9 So in that setting, I think in a  
10 center with established AFib programs, you can  
11 bring in new operators without dramatic changes  
12 in the safety profile. It's really a question  
13 for the new centers, how do you get them up the  
14 learning curve, and that's the commitment and  
15 proper training before they start, at least  
16 that's my sense.

17 Now, Doug, you know as well as I do,  
18 about the specific numbers of the registry.

19 DR. PACKER: Well, if you look at the  
20 registry, you look at the centers and you can  
21 see them even going up above 300, but that's  
22 something that, you know, is available in that  
23 particular registry, and you get less of a  
24 sense of it in meta-analyses, I didn't sense,  
25 than what I've heard from the, in the TA

00242

1 analysis.

2 DR. C. GOODMAN: Dr. Yaross, and let's  
3 make this the final comment in this area.

4 DR. YAROSS: We are currently in our  
5 post-procedure registry comparing what,  
6 measuring the adverse event rate in both groups  
7 of physicians, the more experienced physicians  
8 with 50 or more procedures with those having  
9 less than 50 procedures, and those data are  
10 forthcoming.

11 DR. C. GOODMAN: Great. Panel, any  
12 final thing before we go to break here? Let's  
13 do this. We'll take a ten-minute break now and  
14 then when we reconvene, the panel will have  
15 discussions that address directly our voting  
16 questions, so we will do that.

17 (Recess.)

18 DR. C. GOODMAN: Why don't we convene  
19 now, and we're going to move into our voting  
20 questions, including some discussion of each  
21 before we vote. And as is often the case when  
22 we finally get to some of these voting  
23 questions, there is typically some ambiguity,  
24 and we may address some of that, but we may not  
25 achieve perfection of clarity and that's okay,

00243

1 because that's in the world. So, good.  
2 In a few minutes we will be asking our  
3 panel to assign a number ranking from one to  
4 five with their little numbered cards where the  
5 lower number is going to indicate low  
6 confidence and the higher number is going to  
7 indicate the higher confidence. And the first  
8 question has to do with, well, I'll just read  
9 it. How confident are you that the evidence is  
10 adequate to draw conclusions about health  
11 outcomes of interest to patients treated with  
12 catheter ablation for atrial fibrillation? So  
13 this is with regards to what we think about the  
14 evidence itself, not what the findings were in  
15 particular.  
16 And this question one on our voting  
17 sheet is not broken out into pieces that, given  
18 our most recent discussion about outcomes of  
19 interest, I'm just going to propose that we  
20 have three, or actually four categories of  
21 outcomes of interest. I think it's apparent to  
22 all of us that the bodies of evidence for each  
23 of those several are not aligned. And so what  
24 I would propose, and I understand that it won't  
25 be perfect, is that we have the following four

00244

1 categories, you might want to jot these down  
2 and we can discuss them briefly.  
3 The first one being recurrence, okay?  
4 Let me tell you what I think the four are, and  
5 we can discuss them further. Number one is  
6 recurrence, number two is symptom relief, which  
7 includes in a very large way quality of life,  
8 okay? So number one, recurrence, number two,  
9 symptom relief, including most of what we  
10 discussed regarding quality of life. Number  
11 three, stroke, and number four is survival.  
12 Now I know we talked about some other outcomes  
13 and they too are of some interest, but I think  
14 these may be the higher, what appeared to be  
15 the most important in those discussions. And  
16 so again, now, recurrence can also be related  
17 to part of symptom relief, I think I see some  
18 heads nodding to that effect, but I just think  
19 it's helpful to break out recurrence separately  
20 although we know it is not independent of  
21 symptom relief or quality of life.  
22 So recurrence, symptom relief  
23 including quality of life, stroke, survival.  
24 Panel, is that okay as a taxonomy? Dr. Maisel.  
25 DR. MAISEL: Can I ask for a point of

00245

1 clarification on this regarding the patient  
2 part of this, meaning we discussed different  
3 patient groups, and there may be more evidence  
4 to a different patient group and there is a  
5 subgroup of patients that may benefit in each  
6 of these, or may not benefit in each of these  
7 four things. In other words, are we saying all  
8 patients?

9 DR. C. GOODMAN: I think that's a fair  
10 statement, because we could not say, in no  
11 instance can we say that there is swell  
12 evidence for any groups of patients, but we  
13 certainly heard about some major patient groups  
14 for all of these. Yes. Was it Dr. Dehmer?

15 DR. DEHMER: Can I get further  
16 clarification, that this occurs over what  
17 period of time?

18 DR. C. GOODMAN: Do we want to venture  
19 on that? I see a couple heads shaking no.

20 Well, we'll get that --

21 (Discussion off the record between Dr.  
22 Goodman and Dr. Salive.)

23 DR. C. GOODMAN: Unless somebody has a  
24 real good reason to be more specific, it's  
25 going to be hard for us to be more specific.

00246

1 So, as was the issue raised by Dr. Maisel, if  
2 there is some sizable proportion of people that  
3 may stand to benefit, that would suffice.

4 Dr. Umscheid?

5 DR. UMSCHEID: It sound like when we  
6 talk about recurrence in terms of outcomes  
7 we're talking about recurrence of symptomatic  
8 events, so it seems like recurrence and symptom  
9 relief are the same thing. I don't think any  
10 patient really cares about recurrence if they  
11 have no symptoms, and I know that recurrence is  
12 an outcome that most studies have looked at,  
13 and I know the experts have commented that most  
14 of those recurrences were symptomatic, that's  
15 how they're actually being detected, but I  
16 think we could probably combine A and B into  
17 just recurrence of symptoms.

18 DR. MAISEL: And I don't mean to pick  
19 on my colleague to the right, but I would argue  
20 for keeping them separate, and maybe we can add  
21 the word arrhythmia in front of the word  
22 recurrence. To me that question means, is the  
23 procedure effective at eliminating atrial  
24 fibrillation symptoms?

25 DR. C. GOODMAN: Dr. Satya-Murti.

00247

1 DR. SATYA-MURTI: I would prefer to  
2 keep them apart. We could have a separate  
3 opinion on each of them or maybe they would be  
4 the same, but I would like to keep them  
5 separate, recurrence and relief of symptoms.

6 DR. C. GOODMAN: Dr. Packer, I know  
7 you mentioned some of this in your earlier  
8 comments. Do you have an opinion one way or  
9 another with regard to recurrence as a separate  
10 first category or encompassed under the symptom  
11 relief?

12 DR. PACKER: I agree that for purposes  
13 of conversation we should keep them separate,  
14 and I think they bear differently on some of  
15 the issues like anticoagulation, so I think it  
16 would be a useful exercise to keep them  
17 separate.

18 DR. C. GOODMAN: And we will note that  
19 although we're keeping them separate for  
20 purposes of this voting exercise, that  
21 certainly they are not independent.

22 DR. PACKER: No, they're not, but to  
23 what extent they're concordant or discordant  
24 would depend on what study you're looking at,  
25 if you looked at all.

00248

1 DR. C. GOODMAN: Any other discussion  
2 about our confidence about the evidence with  
3 regard to these now four categories before we  
4 vote? Yes, Ms. Atkinson?

5 MS. ATKINSON: Just for clarification,  
6 we are referring to all patient age populations  
7 when looking at that question.

8 DR. C. GOODMAN: I would guess that we  
9 care most about the Medicare population.  
10 Marcel?

11 MS. ATKINSON: Because when we --

12 DR. SALIVE: Well, I think I need to  
13 clarify this. Question number one really is  
14 about overall adequacy of the evidence, so it's  
15 more of the go or no go kind of question. So  
16 that if the answer is no, we don't have any  
17 evidence, we really stop at that point, versus  
18 if it's really something we have to get into to  
19 define the details of the evidence. And so we  
20 don't need to repeat the adequacy of the  
21 evidence for each subsequent question, we're  
22 really asking about the overall adequacy of the  
23 evidence before addressing the other more  
24 specific questions. So, I appreciate dividing  
25 it by certain outcomes, but I really don't want

00249

1 to go any further than that.

2 DR. C. GOODMAN: Important point, so  
3 answer this question. When we look at question  
4 one for each of those four main categories, do  
5 you mean that we should confine our  
6 consideration to the evidence pertaining to the  
7 Medicare population or the whole body of  
8 evidence?

9 DR. SALIVE: The whole body of  
10 evidence.

11 DR. C. GOODMAN: So if there's a study  
12 where the mean patient is age 55, that's in,  
13 right?

14 DR. SALIVE: Yeah.

15 DR. C. GOODMAN: I just wanted to  
16 clarify. Thank you very much. So we've got  
17 the answer to that, we're not confined, and I'm  
18 glad you asked that question.

19 And one good point is that under  
20 question 4.B, we actually do get to some  
21 consideration about the Medicare beneficiary  
22 population in particular, and when we talk  
23 about additional evidence, that may or may not  
24 be as well raised, so thank you for raising  
25 that.

00250

1 DR. SATYA-MURTI: So we're combining  
2 all four together?

3 DR. C. GOODMAN: No. We're going to  
4 address each of these four categories  
5 separately and I will insist on that because I  
6 think it would be impractical to put them all  
7 together, but we will not be breaking it out by  
8 other subgroups of patients. Dr. Umscheid?

9 DR. UMSCHEID: The one thing I wanted  
10 to note is, these are outcomes of interest to  
11 patients, not an electrophysiologist, so I'm  
12 still a little hesitant on recurrence, because  
13 symptoms that recur, I totally agree with that,  
14 but recurrence of arrhythmia, apart from any of  
15 those, I don't know if patients care about  
16 that.

17 DR. C. GOODMAN: Okay. Dr. Maisel.

18 DR. MAISEL: Do you want to know if  
19 this has implications for ongoing management,  
20 other treatment strategies? I agree, I mean, I  
21 made the point earlier that it's probably not  
22 enough to just know about arrhythmia, it's of  
23 interest.

24 DR. C. GOODMAN: Dr. Umscheid and Dr.  
25 Maisel, I think in kind of the context in which

00251

1 you two gentlemen have this commentary, we  
2 understand what we're doing, and I think it  
3 will be helpful later when CMS does examine  
4 what was behind this. Dr. Calega, did you want  
5 to add anything? Okay.

6 Well, why don't we pull out our handy  
7 dandy cards one through five, and do recall  
8 that one is low confidence and five is high  
9 confidence. We'll take these in the order that  
10 I mentioned them earlier.

11 And so, how confident are you that the  
12 evidence is adequate to draw conclusions about  
13 recurrence of arrhythmias treated with catheter  
14 ablation for atrial fibrillation, talking about  
15 recurrence of arrhythmia, the efficacy of the  
16 evidence, one is low, five is high. And just  
17 for point of record, the chair is not voting.

18 So let's put them up, folks.  
19 (The panel voted and votes were  
20 recorded by staff.)

21 DR. C. GOODMAN: Thank you very much.  
22 That was the first one. Great.

23 Now we're going to talk about symptom  
24 relief, which includes the way we discussed  
25 quality of life. So how confident are you that

00252

1 the evidence is adequate to draw conclusions  
2 about symptom relief including quality of life  
3 with catheter ablation for atrial fibrillation?

4 Please hold up your cards.

5 DR. DULLUM: Can I just clarify?

6 We're voting now on whether there's evidence  
7 available, not that we believe that the therapy  
8 works, correct?

9 DR. C. GOODMAN: Correct, this is  
10 about the adequacy of evidence, not what the  
11 evidence is.

12 (The panel voted and votes were  
13 recorded by staff.)

14 DR. C. GOODMAN: Next is stroke. How  
15 confident are you that the evidence is adequate  
16 to draw conclusions about incidence of stroke  
17 in patients treated with catheter ablation for  
18 atrial fibrillation? One is low and five is --  
19 one is low confidence and five is high  
20 confidence.

21 (The panel voted and votes were  
22 recorded by staff.)

23 DR. C. GOODMAN: Thank you. The next  
24 is survival. How confident are you that the  
25 evidence is adequate to draw conclusions about

00253

1 survival for patients treated with catheter  
2 ablation for atrial fibrillation, survival?  
3 (The panel voted and votes were  
4 recorded by staff.)  
5 DR. C. GOODMAN: I want to remind  
6 everyone that one is low confidence in the  
7 evidence and five is high confidence. Thank  
8 you for that, and thank you for agreeing to  
9 have that question broken into four categories.  
10 I think the discussion that we had prior to  
11 this vote clarifies that we understand there is  
12 some overlap in some of these categories.  
13 Okay.  
14 Now, we will have a brief discussion  
15 as necessary for question two, and this has to  
16 do with how confident we are that catheter  
17 ablation for the treatment of atrial  
18 fibrillation improves health outcomes compared  
19 to other therapies or treatments in the  
20 following populations. So this one is about,  
21 is it really good or better compared to  
22 something else, and there are I see six  
23 categories, and these terms should be fairly  
24 familiar to us at this point.  
25 One is with regard to first-line

00254

1 therapy, you heard about that today.  
2 Second-line therapy. First detected atrial  
3 fibrillation. Longer-standing, and that is  
4 greater than a year atrial fibrillation.  
5 Paroxysmal atrial fibrillation. And persistent  
6 atrial fibrillation. Before we get into the  
7 voting for those six categories, is there any  
8 discussion?

9 DR. SATYA-MURTI: This is overall  
10 health outcomes?

11 DR. C. GOODMAN: Yes, improves health  
12 outcomes.

13 DR. UMSCHIED: I wanted to ask the  
14 experts to comment on the issue of first line  
15 therapy. It seems like the impact of catheter  
16 ablation compared to the antiarrhythmics in  
17 recurrence of arrhythmia is not statistically  
18 different in the first-line study compared to  
19 the few second-line RCTs. So what would be the  
20 argument against not using catheter ablation as  
21 first-line therapy, or considering to use it in  
22 the future for first line.

23 DR. C. GOODMAN: Dr. Calkins.

24 DR. CALKINS: So in the consensus  
25 document, our recommendation is that it's

00255

1 appropriate for second-line therapy, and that  
2 reflects the fact that some of the  
3 complications of cath ablation for atrial  
4 fibrillation were very serious, cardiac  
5 tamponade, atrioesophageal fistula, whatever.  
6 And so if you have a patient in front of you,  
7 yes, the chance of a drug working is relatively  
8 low, but it's something. And we have heard  
9 that the field is still maturing and so if a  
10 patient goes on a drug, they tolerate it, it  
11 works for four years, and then they get the  
12 procedure four years from now, there may very  
13 well be a better safety procedure than the one  
14 they get today. So certainly if it was me, I  
15 would want to try the drug first and I might be  
16 one of the lucky ones where the drug worked.  
17 The other point is, most of the  
18 clinical trials on AF ablation, one of the  
19 inclusion requirements was that you had failed  
20 the drug, and once you fail one drug, you're  
21 more likely to fail the next drug. So I think  
22 still, you know, if you look at the ACC  
23 guidelines, the HRS guidelines, it clearly is  
24 second-line therapy.  
25 And I think there are exceptions. You

00256

1 know, if you're a superstar athlete or  
2 something, where the first line is appropriate  
3 after a detailed discussion about potential  
4 risks, but they aren't always predictable.

5 DR. C. GOODMAN: Good. Any other  
6 questions or points with regard to confidence  
7 for improving health outcomes for these six  
8 populations before we start voting? Seeing  
9 none, we'll start with first line therapy.

10 How confident are you that catheter  
11 ablation for the treatment of atrial  
12 fibrillation improves health outcomes compared  
13 to other therapies or treatments for first-line  
14 therapy?

15 (The panel voted and votes were  
16 recorded by staff.)

17 DR. C. GOODMAN: Thank you. Let's now  
18 move to second-line therapy. How confident are  
19 you that catheter ablation for the treatment of  
20 atrial fibrillation improves health outcomes  
21 compared to other therapies or treatments for  
22 second-line therapy?

23 (The panel voted and votes were  
24 recorded by staff.)

25 MS. ELLIS: Thank you.

00257

1 DR. C. GOODMAN: Thank you. Next, how  
2 confident are you that catheter ablation for  
3 the treatment of atrial fibrillation improves  
4 health outcomes compared to other therapies or  
5 treatments for first detected --

6 DR. CARLSON: I just realized that I  
7 do have a question.

8 DR. C. GOODMAN: Oh, before we vote,  
9 okay. Go ahead, Dr. Carlson.

10 DR. CARLSON: What's the difference  
11 between first-line therapy and first detected  
12 atrial fibrillation?

13 DR. C. GOODMAN: I'm going to point to  
14 Dr. Packer to try to address that distinction.

15 DR. PACKER: I think the first  
16 detected is just that, you see it on an ECG, or  
17 the first time it comes to the attention of  
18 purveyors of fine medicine everywhere.

19 DR. CARLSON: So it could be  
20 first-line therapy then, right, if I treated  
21 it?

22 DR. PACKER: See, that implies you've  
23 made a decision. A patient may have atrial  
24 fibrillation for ten years, and for whatever  
25 reason the clinician comes to the decision that

00258

1 it doesn't need to be treated. All atrial  
2 fibrillation doesn't need to be treated.  
3 First-line therapy can mean when the clinician  
4 says you know what, based on my experience and  
5 the body of evidence and guidelines and so on,  
6 this needs to be treated, and then first-line  
7 therapy, for example, would be ablation or not.

8 DR. C. GOODMAN: Just a moment. Is  
9 first detected before first line?

10 DR. CALKINS: I think this is based on  
11 the AHA guidelines. They make a big point that  
12 if everyone gets a pass, you can have one  
13 episode of AFib before you embark on any  
14 treatment, everyone's first AFib, fine. But  
15 once you have a second episode, then it's  
16 recurrent AFib and all their treatment  
17 algorithms fall into place. But I think this  
18 is talking about upstream therapy when you  
19 first detect AFib, we're going to do an AF  
20 ablation procedure without, you know, even  
21 having a second episode.

22 DR. C. GOODMAN: So this is the  
23 absolute first, that's how I understood it. Is  
24 that okay, Dr. Carlson?

25 DR. CARLSON: Yes.

00259

1 DR. C. GOODMAN: So, Dr. Hammill, did  
2 you have a comment on that?

3 DR. HAMMILL: No. I was just going to  
4 say about the same thing Hugh said, so I'm  
5 fine.

6 DR. C. GOODMAN: All right. So it's  
7 asking about first detected atrial  
8 fibrillation.

9 (The panel voted and votes were  
10 recorded by staff.)

11 MS. ELLIS: Thank you.

12 DR. C. GOODMAN: All right. We will  
13 move to longstanding, which here means greater  
14 than one year, for atrial fibrillation. How  
15 confident are you that catheter ablation for  
16 the treatment of atrial fibrillation improves  
17 health outcomes compared to other therapies or  
18 treatments for longstanding, greater than one  
19 year, atrial fibrillation? One through five,  
20 one is low, five is high.

21 (The panel voted and votes were  
22 recorded by staff.)

23 MS. ELLIS: Thank you.

24 DR. C. GOODMAN: Thank you. We will  
25 now move to paroxysmal atrial fibrillation.

00260

1 Any questions about paroxysmal? Seeing none,  
2 okay. How confident are you that catheter  
3 ablation for the treatment of atrial  
4 fibrillation improves health outcomes compared  
5 to other therapies or treatments for paroxysmal  
6 atrial fibrillation?

7 (The panel voted and votes were  
8 recorded by staff.)

9 DR. SATYA-MURTI: Again, this is all  
10 outcomes, not just the -- are we considering  
11 all of those that we identified.

12 DR. C. GOODMAN: We have talked about  
13 the health outcomes of interest and it is in  
14 that context.

15 MS. ELLIS: Thank you.

16 DR. C. GOODMAN: The next and last of  
17 the set of six is going to be persistent atrial  
18 fibrillation. Persistent, any discussion or  
19 questions about definitions, meaning, context?

20 No. Okay. How confident are you that catheter  
21 ablation for the treatment of atrial  
22 fibrillation improves health outcomes compared  
23 to other therapies and treatments for  
24 persistent atrial fibrillation?

25 (The panel voted and votes were

00261

1 recorded by staff.)

2 DR. C. GOODMAN: Our next question,  
3 which looks a little similar to something above  
4 you is question three, how confident are you  
5 that ablation improves long-term, greater than  
6 one-year, health outcomes.

7 DR. CARLSON: If I may, it is a little  
8 different. One is looking at how long you had  
9 it before and the other is looking at  
10 follow-up, right?

11 DR. C. GOODMAN: I believe so, you're  
12 distinguishing between three and 2.D?

13 DR. CARLSON: Yes.

14 DR. C. GOODMAN: So Dr. Carlson, why  
15 don't you just state that distinction again.

16 DR. CARLSON: Okay. So, 2.D is  
17 looking at how long the patient had atrial  
18 fibrillation before the procedure, and three is  
19 looking at the outcomes beyond one year  
20 following the procedure.

21 DR. C. GOODMAN: Right, exactly. Any  
22 other questions or considerations for question  
23 three? Dr. Dullum.

24 DR. DULLUM: Again, is this everybody  
25 that we've seen the data presented on, or the

00262

1 Medicare population?

2 DR. C. GOODMAN: We're still talking  
3 about everyone; is that right, Marcel?

4 DR. SALIVE: Yes. We're not going  
5 into anything specific with regard to the  
6 Medicare population just yet.

7 DR. C. GOODMAN: Okay. So how  
8 confident are you that catheter ablation  
9 improves long-term, that is greater than  
10 one-year, health outcomes?

11 (The panel voted and votes were  
12 recorded by staff.)

13 DR. C. GOODMAN: Now we're going to  
14 move to what they call external validity or  
15 generalizability, how well things work in the  
16 real world, sometimes we talk about it as  
17 effectiveness versus efficacy. What we're  
18 trying to understand is how does the body of  
19 available evidence translate into real world  
20 practice, i.e., getting it out of the  
21 controlled world of the study, so this is a  
22 two-part question. Keep in mind the  
23 distinction. 4.A is going to ask about moving  
24 from controlled conditions to outside of those,  
25 presumably in a real world practice, and B is

00263

1 going to talk about the extent to which the  
2 evidence is generalizable to the Medicare  
3 beneficiary population in particular.  
4 Are people confident about looking at  
5 4.A that the overall, and we're only asking it  
6 one time here, so this is not broken down into  
7 patient types or indications or so forth,  
8 that's okay with everyone? All right. Well  
9 then, how confident are you that the outcomes  
10 can be extrapolated to patients outside a  
11 controlled clinical study?

12 (The panel voted and votes were  
13 recorded by staff.)

14 DR. C. GOODMAN: We're going to move  
15 next to outcomes being extrapolated or  
16 generalized to the Medicare beneficiary  
17 population, which we recognize as being age 65  
18 years and older, and also which is 56 percent  
19 female. Any need for discussion here about  
20 this? I know we've had quite a bit of  
21 discussion here today about what the data  
22 showed, some of the analyses, but I wanted to  
23 make sure that we understood the concept here  
24 and ensure that you got any questions that you  
25 may have about this maybe clarified. Does any

00264

1 panelist have a question or comment about this  
2 before we put it out for vote? Seeing none, we  
3 will proceed then.

4 This is question 4.B. How confident  
5 are you that the outcomes can be extrapolated  
6 to the Medicare beneficiary population aged 65  
7 years and older and which is 56 percent female?  
8 (The panel voted and votes were  
9 recorded by staff.)

10 MS. ELLIS: Thank you.

11 DR. C. GOODMAN: Now question five is,  
12 you might say, rather broadly worded. It is,  
13 how confident are you that additional evidence  
14 is needed? Dr. Packer first and then  
15 Dr. Carlson.

16 DR. PACKER: Can we break that down in  
17 a similar fashion to what we did with number  
18 one?

19 DR. C. GOODMAN: We could do that.  
20 Does anyone else on the panel think that would  
21 be a good idea? Dr. Maisel.

22 DR. MAISEL: What about breaking it up  
23 by patient population?

24 DR. C. GOODMAN: So we've had two  
25 breakdowns before. The first breakdown was the

00265

1 four main categories of outcome interest. The  
2 second breakdown was by patient population,  
3 there were six of those. So where does this  
4 make the most sense? It could be one or both  
5 frankly. Dr. Dullum.

6 DR. DULLUM: I think if you're going  
7 to do that, you're going to have to break it  
8 down to energy source, different types, you  
9 know, breaking down more and expanding it. Or  
10 do you just want to keep this as more evidence,  
11 because we could go on and on about how much  
12 more evidence we need in different categories.

13 DR. C. GOODMAN: That's a good point.  
14 We may not have to do that. CMS asked us to  
15 look at the categories of patient population  
16 and we took the initiative to having considered  
17 about the ranges of evidence, to break it down  
18 into these broad categories of interest. So I  
19 would submit that if we're going to break out  
20 question five, we would break it out by one or  
21 both of those very same categories that we  
22 addressed in the earlier questions.  
23 So just proposing to the panel, you  
24 know, we're moving on pretty well with time  
25 here, we could do a breakdown in outcomes, we

00266

1 could do a breakdown out in patient  
2 populations. To do both would be holding up a  
3 card ten times. Dr. Umscheid.

4 DR. UMSCHIED: When you say needed,  
5 what is meant by that? Is that needed by the  
6 treating physician, is it needed by CMS to make  
7 a decision.

8 DR. C. GOODMAN: Marcel, I don't think  
9 this is really on the table, but you tell me if  
10 I'm wrong? My response would be that we're  
11 here to support the Center for Medicare and  
12 Medicare Services in formulating an objective  
13 picture of the availability and quality of  
14 evidence that may be used at some time to, in  
15 coverage considerations, and I think that they  
16 are our primary, though not sole target  
17 audience for this. And so I would say,  
18 evidence needed to support CMS would be my  
19 take. Dr. Salive?

20 DR. SALIVE: I can hardly disagree  
21 with that. I think, your advice to us, you  
22 think we need more evidence. And you know,  
23 actually, and just in comment on breaking down  
24 further, I think we do want some discussion  
25 about where your feelings lie here, so no

00267

1 matter how this gets voted, we would like to  
2 have some discussion on the next area.

3 DR. SATYA-MURTI: We could air our  
4 feelings on that, but to vote for this en bloc.

5 DR. C. GOODMAN: The chair would offer  
6 that it would be good to at least do the four  
7 outcomes at the very least and then if there's  
8 a strong case to be made for the six patient  
9 populations, we can do that too, and we would  
10 be glad to do that if panelists feel we should.  
11 Dr. Maisel.

12 DR. MAISEL: Maybe I will say the  
13 phrase that no one seems to want to say, but is  
14 this about coverage with evidence, because if  
15 that's the case, then answering the outcomes  
16 issue isn't going to help with what patients  
17 might need additional evidence if they're  
18 covered.

19 DR. C. GOODMAN: That's a good  
20 question and that is fair game and can be put  
21 on the table if you so choose when we reach the  
22 additional evidence discussion after this. If  
23 you think that coverage is not going to be  
24 delayed without the additional evidence if  
25 needed, we can talk about it then. Yes, Dr.

00268

1 Stanton.

2 DR. STANTON: I think Dr. Salive made  
3 an important statement at the beginning, which  
4 is this is not a national coverage decision  
5 meeting. There is coverage by Medicare through  
6 the local carrier mechanism for AFib and  
7 ablation, so I'm not sure that it is  
8 appropriate to get into recommendations about  
9 national coverage or about coverage for  
10 evidence development.

11 DR. C. GOODMAN: I will have a comment  
12 on that too.

13 DR. SALIVE: You're right, that that  
14 is a question about how do we implement their  
15 advice, and I would rather not discuss that  
16 because we don't have to really get your advice  
17 first to decide that. I agree that we haven't  
18 got any policy and nothing is contemplated  
19 right now. I think we wanted to get the advice  
20 and try to sort through it and figure out how  
21 we might deal with that advice, so I think it  
22 can be broad advice.

23 We have done that, as you know, asked  
24 for it in other decisions, but it would take  
25 quite a bit of work. So you can give us advice

00269

1 on that, but our main focus is really what  
2 evidence is needed and what do you think we  
3 should be focused on.

4 DR. C. GOODMAN: Just to iterate,  
5 there is no coverage determination on the table  
6 here today, and so it appears that I will add  
7 that in our discussion about the additional  
8 evidence if needed, that we're not federal  
9 employees, we don't work for CMS, we're here to  
10 help inform them, however, and if you have an  
11 opinion about something that might be helpful  
12 to them, and it happens to be coverage evidence  
13 as a potential thing some day, nobody is going  
14 to stop you from suggesting that if that's what  
15 you would like to put on the table, but you  
16 don't have to do that either, but the focus  
17 will be on what evidence is needed.

18 Yes, Dr. Carlson.

19 DR. CARLSON: Is this question focused  
20 on the evidence that's available at this point  
21 today or is it focused on the evidence that  
22 will be available when current trials like  
23 CABANA are completed? That's a very important  
24 distinction, at least for my answer.

25 DR. C. GOODMAN: It certainly is.

00270

1 CABANA is not completed and published yet, is  
2 it, Dr. Packer?

3 DR. PACKER: Tragically, no.

4 DR. C. GOODMAN: So I would suggest  
5 that I would not presume that CABANA or  
6 anything else will produce the needed evidence.  
7 You may hope it does. What we're interested in  
8 most from you is understanding what evidence is  
9 needed beyond what's in the hopper today.

10 DR. CARLSON: Let me point out the box  
11 that puts me in, and I think a lot of us at the  
12 table. If I didn't think additional evidence  
13 were needed, why would I support doing the  
14 CABANA trial? So it really reduces the  
15 meaningfulness of the question, because  
16 obviously I support the CABANA trial, I think  
17 more evidence is needed.

18 DR. C. GOODMAN: I will restate it.  
19 The evidence that we have is the evidence much  
20 as was presented by Tufts today, that's in the  
21 peer reviewed literature, that's open for  
22 consideration. There is certainly some  
23 evidence pending in the pipeline that we  
24 haven't seen yet. We'd like to know what you  
25 think we don't have yet, including what might

00271

1 not be in the pipeline.

2 DR. CARLSON: Okay.

3 DR. C. GOODMAN: Dr. Dullum?

4 DR. DULLUM: Could we just make it  
5 simple, do you think you need more evidence,  
6 everybody vote yes or no, whatever we vote, and  
7 then during the discussion period you could  
8 outline what evidence you think you need. That  
9 would maybe simplify the process.

10 DR. C. GOODMAN: Well, we can  
11 certainly get into more detail with the  
12 discussion. I would just say when we talk  
13 about what's needed, it's what may be needed  
14 beyond what is already captured particularly as  
15 including what has been captured by the Tufts  
16 TA.

17 Now, I again want to propose that we  
18 do the four outcome categories at least. Does  
19 anyone feel strongly about doing the six  
20 populations as well? Dr. Packer.

21 DR. PACKER: I guess, I think that the  
22 Tufts study was great. I think that it took an  
23 incredible amount of data and put it together.  
24 The question I have, though, is if that's your  
25 evidence body, then I think we have an equal

00272

1 problem with our randomized clinical trials.  
2 They went from 2,952 cites or references down  
3 to 120 articles, based on legitimate criteria,  
4 but restraining or restricting their criteria  
5 nonetheless. It's almost like you need a  
6 registry of that process just as you would need  
7 a registry with a trial like CABANA to try to  
8 say well, we tried to enroll 25,000 patients  
9 and we got a few thousand out of it.  
10 So I guess my point would be that I  
11 think that was a noble effort, I think it's a  
12 great body of literature, even though others  
13 would argue on behalf of meta-analysis,  
14 registries, that sort of thing. I'm not sure  
15 that that by itself, though, is the gold  
16 standard for evidence, nor would I think that  
17 this entire deliberation on whether we need  
18 more evidence is strictly a function of that.  
19 DR. C. GOODMAN: Absolutely agreed,  
20 and I thank you for the clarification. I  
21 referred to that only as capturing much of it  
22 but certainly not all. We've certainly heard  
23 about other evidence that is available and that  
24 is part of the body that we're talking about  
25 available now. So we're talking about beyond

00273

1 available evidence, including certainly what  
2 Tufts captured, including some other studies  
3 we've heard about today. What evidence do we  
4 not have somewhere that we need? Thanks.  
5 Okay.  
6 Let's do at least those four outcome  
7 categories again. I didn't hear or see a  
8 strong push for the six subpopulations and  
9 unless someone's got one, we'll just stick with  
10 the outcomes, is that okay? I see heads  
11 nodding. All right.  
12 How confident are you that additional  
13 evidence is needed for, or with regard to  
14 recurrence of arrhythmias, how confident are  
15 you that additional evidence is needed  
16 pertaining to recurrence of arrhythmias, one is  
17 low need, five is high need? Excuse me. How  
18 confident are you that additional evidence is  
19 needed? So five means I'm very confident that  
20 additional evidence is needed. If you're  
21 confident that a lot of, if you're highly  
22 confident that additional evidence is needed,  
23 you might vote a five. If you're not very  
24 confident that additional evidence is needed,  
25 you might vote something that looks closer to a

00274

1 one.

2 MS. ATKINSON: Can I just clarify  
3 again? Is this for Medicare beneficiaries or  
4 for all populations? Are we focusing on  
5 Medicare beneficiaries when we talk about this?

6 DR. SALIVE: All.

7 DR. C. GOODMAN: Dr. Salive indicated  
8 that the Medicare beneficiary question was 4.B  
9 in particular.

10 DR. UMSCHIED: And all time periods  
11 too, not just the six months?

12 DR. C. GOODMAN: Yeah, we're not  
13 limiting, correct.

14 (The panel voted and votes were  
15 recorded by staff.)

16 DR. C. GOODMAN: Thank you. Next, how  
17 confident are you that additional evidence is  
18 needed regarding symptom relief, including  
19 quality of life? Five is you're very confident  
20 you need more evidence, and one is you're not  
21 confident at all.

22 (The panel voted and votes were  
23 recorded by staff.)

24 MS. ELLIS: I have them, thank you.

25 DR. C. GOODMAN: Thank you. Next, how

00275

1 confident are you that additional evidence is  
2 needed pertaining to stroke?

3 (The panel voted and votes were  
4 recorded by staff.)

5 MS. ELLIS: I have them.

6 DR. C. GOODMAN: Okay, thank you.

7 Next, how confident are you that additional  
8 evidence is needed pertaining to survival?

9 (The panel voted and votes were  
10 recorded by staff.)

11 MS. ELLIS: Thank you.

12 DR. C. GOODMAN: Thank you. So we've  
13 gone through our voting questions one through  
14 five. As was made clear by some of your  
15 comments before and even during this voting  
16 process, there's some gaps in evidence and some  
17 considerations that you wanted to lay out here  
18 going from the scope of the questions. So  
19 based on your, the panel's vote on question  
20 five, it seems as though, and certainly in some  
21 cases additional evidence is needed, and our  
22 discussion now moves to well, additional  
23 evidence, if needed, at least two main  
24 questions.

25 So we're presented here with the first

00276

1 point of, if there is additional evidence  
2 needed, what type of additional evidence is  
3 needed to determine health outcomes, what type  
4 of additional evidence is needed to help  
5 determine health outcomes. In other words, we  
6 can talk about subcategories that we voted on  
7 just now under question five. So Dr. Hammill,  
8 were you ready to make a comment?  
9 DR. HAMMILL: Yes. I would like to  
10 start this out. I agree with what was said  
11 earlier that consideration of coverage with  
12 evidence is ultimate. I think this area is a  
13 very appropriate approach for CMS to take. I  
14 think it's quite analogous to the ICD registry  
15 where we had questions that weren't being  
16 covered by the literature, by the randomized  
17 control trials. And the questions I think  
18 about, one is long-term survival or mortality,  
19 stroke, but also the generalizability of the  
20 data to hospitals of smaller volume and  
21 physicians of less experience.  
22 So I think that's a huge gap that we  
23 have now and the only way we can get it is with  
24 some type of registry. I worry about a  
25 registry that's voluntary because I think

00277

1 patients will not be entered in sequentially so  
2 they will cherry-pick for a better outcome. I  
3 also worry that if it's voluntary, we will not  
4 get representation from the smaller hospitals  
5 because they won't want to participate, so I  
6 think CED provides that leverage.  
7 A worry has been that the average age  
8 is younger and below the Medicare  
9 beneficiaries, but my argument to that again  
10 goes back to the ICD registry. With the ICD  
11 registry, the only requirement was that a  
12 patient, for participation, was to enter  
13 patients who were primary prevention Medicare  
14 beneficiaries. However, nearly 80 percent of  
15 the hospitals in the United States have elected  
16 to enter every patient, Medicare and  
17 non-Medicare, and there are a lot of things  
18 that drive them to do that, one of which is  
19 quality improvement and one of which is  
20 benchmarking and being compared to other  
21 hospitals, and it's always to a hospital's  
22 benefit to have these data looked at in a  
23 younger population versus just exclusively a  
24 Medicare population.  
25 So, I think that the other issue that

00278

1 we've seen at Mayo is that as people are more  
2 comfortable with this technique, they move the  
3 technique further and further into the Medicare  
4 population. The procedure was initially done  
5 in the younger lone AF patients, normal hearts.  
6 That's only five percent of the population.  
7 The bulk of AF comes from the elderly  
8 population, so I think that that's a natural  
9 course of where this procedure is going to go,  
10 and I do worry about it being done in smaller  
11 centers with less experience. So I think  
12 tracking that in a registry under a CED is  
13 appropriate.

14 DR. C. GOODMAN: What you said,  
15 Dr. Hammill, you are interested in more data on  
16 survival, stroke, generalizable to community  
17 hospitals and the elderly, and you're saying  
18 that a good way to capture those data would be  
19 in a registry?

20 DR. HAMMILL: Correct.

21 DR. C. GOODMAN: Just, we will state,  
22 once again, there is no national coverage  
23 decision here on the table today, I just want  
24 to make sure, and we've said that several  
25 times. But we are interested in the kinds of

00279

1 additional evidence that you think are needed,  
2 in particular for health outcomes. Yes,  
3 Dr. Carlson.

4 DR. CARLSON: I'm concerned that it  
5 may be difficult in retrospect when people are  
6 looking at these scores to understand the  
7 reasons that people voted one way or another,  
8 so I'm going to take a moment to explain mine.  
9 I think there's very good evidence,  
10 and my vote reflects that, to suggest that  
11 ablation decreases recurrence and decreases  
12 symptoms in appropriate patients. So the  
13 patients that have been in the trials have been  
14 symptomatic and I think the results have shown  
15 that that's been effective.

16 If we are to use ablation to decrease  
17 the risk of stroke, then that's a different and  
18 larger patient population that has not yet been  
19 studied, and that's a completely different  
20 question in my mind, and it's a different  
21 coverage decision if it ever comes to that as  
22 well.

23 DR. C. GOODMAN: And based on what you  
24 just said, is there a need for additional  
25 evidence with regard to, let's say outcomes?

00280

1 DR. CARLSON: Well, I think CABANA is  
2 going to give us that.

3 DR. C. GOODMAN: Dr. Maisel.

4 DR. MAISEL: I said at the top that we  
5 need additional evidence for stroke and  
6 mortality, and it's not so we can prove  
7 ablation for atrial fibrillation reduces stroke  
8 or reduces mortality, we just need to be  
9 confident that it doesn't make things worse as  
10 a treatment option. So I do feel we need  
11 additional evidence, but I don't think that  
12 evidence needs to show that it helps  
13 necessarily in the specific outcomes.

14 DR. C. GOODMAN: A very helpful  
15 clarification, thank you. Dr. Satya-Murti.

16 DR. SATYA-MURTI: I heard from the  
17 presenters that an average wait time of six to  
18 eight months is not unusual for waiting to get  
19 the procedure performed, so this is not an  
20 incident that some of the earlier studies found  
21 on temporal lobe epilepsy where waits were also  
22 fairly long, so patients waiting to get in have  
23 already been assigned an intention to treat.  
24 They were compared to those who actually  
25 underwent surgery, so there may already be

00281

1 data, let's say for a typical patient waiting  
2 to have the procedure done, and those who got  
3 in, and compare them, say within that eight or  
4 nine-month period, those who did not get in but  
5 were about to, their quality of life and  
6 symptoms might form a basis to compare with  
7 those who have already had the procedure. Is  
8 that kind of data, can it be culled out?

9 DR. C. GOODMAN: Do any of our  
10 presenters or team from Tufts have a response  
11 to Dr. Satya-Murti? Dr. Calkins.

12 DR. CALKINS: I don't think the data  
13 is available, or whether those data sets exist.  
14 Whether that question could be addressed in a  
15 prospective clinical trial is another question,  
16 and speaking as someone who's involved in  
17 clinical trials, certainly one of the main  
18 problems we have now is very little funding for  
19 clinical research to do the high quality  
20 studies that are needed. CABANA has been  
21 funded, so far as the registry that people have  
22 been talking about. But I think all of us  
23 would like more money to do research to answer  
24 important questions, and that would be one  
25 study design that could be contemplated.

00282

1 DR. YAROSS: To put my input on the  
2 question of what happens during a waiting  
3 period, I go back to conversations that  
4 Dr. Packer and I had recently, and I believe  
5 CABANA has the potential of getting that  
6 intention to treat period, events will be  
7 counted from randomization, and it may help.

8 DR. SATYA-MURTI: What kind of time  
9 period are we looking at?

10 DR. PACKER: What this is referring to  
11 is in the CABANA pilot study, the time to  
12 medical therapy is three days, the time to  
13 ablative therapy is 23 days, and so it would  
14 give you information in terms of event rates  
15 after they get randomized, but I think you're  
16 speaking to a longer waiting period.

17 DR. C. GOODMAN: Dr. Rosenberg.

18 DR. ROSENBERG: I think it would be an  
19 interesting concept if there was some way you  
20 could harmonize those groups. I don't know if  
21 it's possible, but I would like to warn you  
22 that there's a lot of baggage in this kind of  
23 comparison and I would be very cautious about  
24 that.

25 DR. C. GOODMAN: Thank you for the

00283

1 clarification, Dr. Rosenberg. Yes, Dr.

2 Umscheid.

3 DR. UMSCHIED: I just want to bring up  
4 a point that although I felt the data was  
5 compelling about decreasing recurrence of  
6 arrhythmia, I do think there is a need for more  
7 data even for that outcome, because of some of  
8 the facts that were presented earlier. The  
9 majority of patients that I see in the hospital  
10 don't have arrhythmias, they have structural  
11 heart disease, they have EFs that are abnormal,  
12 usually less than 45 percent, and we don't have  
13 enough data on patients outside the normal EF  
14 range.

15 So I would like to see more trials not  
16 only in the elderly and people with  
17 comorbidities in general, but specifically in  
18 people with structural heart disease, because I  
19 think there is a theoretic rationale that this  
20 therapy may not be as effective in people with  
21 structural heart disease and it is in people  
22 without it.

23 DR. C. GOODMAN: Dr. Umscheid, just to  
24 be clear, do you think that the available data  
25 they have, subject to the registries and

00284

1 subject to analysis, they could draw any  
2 findings there, or do you think we're in need  
3 of new prospective data collection with regard  
4 to the structural abnormalities?

5 DR. UMSCHEID: Well, there was a  
6 question that led to us getting to this issue,  
7 which was does EF impact the efficacy of  
8 catheterization, and the answer was probably  
9 not. But the problem with that was there  
10 wasn't a wide range of EFs to be found, it was  
11 a very narrow range of relatively normal EFs.  
12 And the patient that we're seeing in our  
13 ordinary care academic hospital presents with  
14 structural heart disease and EFs that are  
15 likely lower than 45 percent.

16 DR. C. GOODMAN: Great, thank you.  
17 Other comments about the type of additional  
18 evidence or needs to determine health outcomes?  
19 We've talked about survival, stroke,  
20 talked about the elderly, structural heart  
21 conditions, generalizability to community  
22 hospitals. We talked a little bit about  
23 prospective data collection and the need for a  
24 registry for this. What other gaps do we need  
25 to consider filling with regard to determining

00285

1 health outcomes? Did anybody want to venture  
2 on hospitalization and those kinds of events?  
3 I know we discussed it earlier. Is it still  
4 something of importance to us? Dr. Calega.

5 DR. CALEGA: I was just going to say  
6 that the cost effectiveness which we looked at  
7 with hospitalization greater than 12 months,  
8 what happens with these patients, what happens  
9 from the perspective of what all medical costs  
10 are, doctor visits, hospitalization,  
11 medication, laboratories, are they on Coumadin,  
12 do they know they need Coumadin, looking at the  
13 cost impact and the cost effectiveness for  
14 greater than a 12-month time frame.

15 Dr. C. GOODMAN: So under the broad  
16 term resources, cost, and we may be venturing  
17 into cost effectiveness. Just as a reminder,  
18 I'm fairly confident that CMS does not take  
19 into account cost effectiveness when or if they  
20 get into making coverage determinations, but  
21 this has been raised.

22 DR. SALIVE: Generally we do not use  
23 that data in making coverage decisions but  
24 there are some exceptions to it in the  
25 preventive services area, in which we discuss

00286

1 that in relation to screening. And so there  
2 are some exceptions, but as a practice we  
3 don't.

4 DR. C. GOODMAN: I just wanted to  
5 raise that. That doesn't mean we can't make a  
6 suggestion, which would be very helpful. Ms.  
7 Atkinson.

8 MS. ATKINSON: I think again, going  
9 back to the two populations, robust versus  
10 frail, and looking at all the other  
11 comorbidities, the effect of ablation on those  
12 other comorbidities, even arthritis and pain.  
13 If they're now able to get up and ambulate,  
14 there may be decreased pain, so maybe looking  
15 at that as well.

16 DR. C. GOODMAN: Okay, arthritis and  
17 pain. Other comments on health outcomes?  
18 Dr. Umscheid.

19 DR. UMSCHIED: I would say that  
20 hospitalizations are important even beyond  
21 resources. I think they are a surrogate at  
22 some level for quality of life. I would also  
23 say that a systematic assessment of adverse  
24 effects and clear definitions of adverse events  
25 is very important for future trials. And for

00287

1 whatever it's worth, I wanted to just state  
2 that I strongly agree with Dr. Hammill's  
3 opening remarks about registry, applicability  
4 to community hospitals, et cetera.

5 DR. C. GOODMAN: Good, thank you.

6 Dr. Packer is next. Yes, Dr. Knight.

7 DR. KNIGHT: We spent a lot of time  
8 talking about all the available evidence and  
9 how we determined its quality, and with all due

10 respect I would say that a registry probably  
11 wouldn't offer those high quality data that we  
12 are seeing, a registry will not be as powerful  
13 as the randomized clinical trial would be in  
14 looking at some of these questions. So you've  
15 raised a lot of important questions, but I  
16 don't know that it answers the type of evidence  
17 that we're looking for from randomized clinical  
18 trials.

19 DR. GOODMAN: Yes, Dr. Satya-Murti.

20 DR. SATYA-MURTI: Could we not have a  
21 registry and a randomized clinical trial also?

22 They are not mutually exclusive.

23 DR. C. GOODMAN: Dr. Yaross.

24 DR. YAROSS: In a perfect world, of  
25 course we would like to have all of that. What

00288

1 I would like to point out is that many of these  
2 questions that are being raised in terms of the  
3 subpopulation of the elderly, et cetera, are  
4 ones that there is great interest both from the  
5 industry, from the clinicians, institutions in  
6 answering, but we have to realize that we live  
7 in areas of limits, and as a result in  
8 considering recommendations such as Dr. Hammill  
9 has raised with a registry, we have to think  
10 about the opportunity costs, will it be  
11 possible to do all these higher quality  
12 clinical trials, which raises different  
13 questions. So I would just recommend that the  
14 panel continue doing what it's doing, identify  
15 what the questions are, but then also think  
16 about what's the most appropriate way,  
17 recognizing that it's not always possible to do  
18 everything.

19 DR. C. GOODMAN: Right. I would just  
20 add that registries are basically prospective  
21 observational studies, they are very useful  
22 for, among other things, identifying rare  
23 and/or delayed adverse events. They're very  
24 good for identifying hypothesis generation,  
25 they are very good for identifying associations

00289

1 that might be tested in RCTs. So yes, the  
2 point is well taken, that there are a lot of  
3 evidence questions that can't be answered here,  
4 we're not going to take one particular study  
5 design and try to do those, but we will  
6 hopefully kind of draw from our portfolio of  
7 study designs subject to time constraints,  
8 force constraints and so forth, but a point  
9 well taken. Dr. Hammill.

10 DR. HAMMILL: Just to elaborate on  
11 that a bit, with this national AFib ablation  
12 registry which we're working on in conjunction  
13 with the FDA, the data collection forms which  
14 will be coming out in about two weeks, we have  
15 looked, those forms have been developed with  
16 the CABANA forms, and we will be using similar  
17 definitions. So trying to see what's happening  
18 in an RCT like CABANA, and then how  
19 generalizable is that to the subpopulation.

20 DR. C. GOODMAN: Great. Dr. Packer.

21 DR. PACKER: An extension of that  
22 comment, you know, at the end of the day, there  
23 are a bunch of different trial types, and if  
24 we're looking at some kind of diagram with  
25 observational studies, multicenter studies, and

00290

1 then registries, and eventually mortality  
2 trials, we hope that we get to some ethereal  
3 point on all knowledge of treatment on somebody  
4 who's got atrial fibrillation. I'm not sure  
5 that we can get it all, I'm not sure that there  
6 is any one of these trials that we're talking  
7 about that is going to get us all the  
8 information about all those things that we  
9 would like to know.

10 I think that, you know, if you look at  
11 the slope of my theoretical curve, there's some  
12 threshold there, we seem to have a threshold  
13 where we're comfortable with moving on with  
14 some part of what we're doing, I think from the  
15 standpoint of symptoms to the standpoint of  
16 recurrence and so on. I think with the  
17 evidence we've got now, we've exceeded that  
18 threshold. Having said that, we always can  
19 learn more, and I think the more we can learn  
20 from whatever approach we happen to use, it's  
21 going to be of ultimate benefit.

22 I happen to be quite enamored with the  
23 concept of clinical trials, because I think one  
24 of the problems, and I don't mean to be too  
25 critical of the Tufts approach, but one of the

00291

1 reasons that we've heard over and over and over  
2 again, why they had to go through a large  
3 number of studies down to a smaller number of  
4 studies is because they weren't designed very  
5 well, perhaps the critical questions weren't  
6 being asked and they weren't being set up to  
7 get an answer for those particular questions.  
8 I do think that randomized control trials will  
9 give you the greatest control of all of those  
10 issues and at the end of day, when we have this  
11 conversation three or four or five or six years  
12 from now, nobody stands up and says we had to  
13 exclude this one because of, we had to exclude  
14 this one because of, we had to exclude these  
15 three because of, but we have something that  
16 has, you know, the standing of a well  
17 constructed clinical trial.  
18 And hopefully CABANA will be that, and  
19 you know, we hope the RAFT will be, and that,  
20 you know, COC/AF II will be that, and all the  
21 other trials that are now underway. I would  
22 hope that they would control for therapy. I  
23 would hope that what they do is kind of even  
24 out all the iterations so that at the end of  
25 the day, your question is this therapy or that,

00292

1 or this treatment strategy or that. I think  
2 that's important because of clinical decisions  
3 we make.  
4 So if we approach a patient, then we  
5 have to say I'm going to treat him this way or  
6 I'm going to treat him that way, after we made  
7 the decision to treat him at all. So I think  
8 the randomized clinical trials are pointing in  
9 that direction and I think that there are  
10 probably way too many of them that are being  
11 planned or are now underway.  
12 Having said that, I think there are  
13 different added questions that can be asked and  
14 answered using other approaches as well. I  
15 might bob and weave in the direction of  
16 randomized clinical trials but there are other  
17 questions that can be asked and answered with  
18 the others and I think it will be interesting  
19 to see where we go with that.  
20 As long as we -- we have to capture on  
21 the registry things, I know what problems  
22 occurred in the international registry. The  
23 critical issues would be capturing so you have  
24 enough information, including the baseline  
25 study forms, so that you're not getting 45

00293

1 different approaches, such that when you look  
2 at events over a short period of time, which  
3 may be difficult to get a long-term follow-up  
4 with the registries, then you have enough data  
5 with enough reproducibility of the approach in  
6 what was used that you can ask and answer the  
7 questions.

8 So that bears more on the issues of  
9 construction of that registry and to that end,  
10 one of the things we tried to do is to create a  
11 situation such that at the end of the day  
12 CABANA, or the registry, or this trial, or  
13 RAFT, or the FTF database or whatever, can talk  
14 to each other at the end of the day.

15 DR. C. GOODMAN: Thank you, Dr.  
16 Packer. Dr. Umscheid.

17 DR. UMSCHEID: I think the trial  
18 design that you want to look at depends on the  
19 question you're asking. One of the major  
20 issues here is, can community EP docs replicate  
21 the results from controlled trials, and if  
22 we're saying that you have to be a highly  
23 trained EP doc to do it, Dr. Hammill was  
24 saying, you know, he was concerned about his  
25 own skill set so he decided not to do it, so

00294

1 there's obviously some very common threshold of  
2 a skill set volume that we're talking about, in  
3 order to do this effectively without causing  
4 adverse events.

5 So if we want to make sure that people  
6 out there in the community aren't causing  
7 adverse events, I think it's really important  
8 to follow the patients that are being treated  
9 out there, so that's where the registry comes  
10 in, and that's where a mandatory registry comes  
11 in. Because when we are talking about the  
12 efficacy of this procedure, we're talking about  
13 recurrence of, preventing recurrence of  
14 arrhythmia that we're not sure is symptomatic,  
15 we're talking about improving quality of life  
16 that, we're not sure if the SF-36 is the right  
17 measure, so the benefits are not necessarily  
18 clear. And when we get down to generalizing  
19 the procedure to community docs, I think it is  
20 really important to find out if adverse events  
21 are occurring in the community.

22 DR. C. GOODMAN: Thank you. It  
23 doesn't take into consideration these poorer  
24 study design, as you pointed out. Other  
25 comments with regard to either the evidence

00295

1 needed for determining health outcomes or study  
2 designs to obtain this evidence, any other  
3 comments from our panel regarding that?

4 DR. CARLSON: One more comment then.

5 I think it would, we would all benefit from  
6 looking at the question that we're trying to  
7 answer and then look at the best mechanism for  
8 answering the question. For instance, in  
9 solving the questions or answering the  
10 questions that need to be solved or answered.

11 I heard we need to be looking out for  
12 the possibility that patients are being harmed  
13 in community hospitals. Well, do we know that  
14 that is a problem, and are there better ways  
15 than spending a lot of money on a big registry  
16 to determine or answer that question? There  
17 may be very simple ways to line up national  
18 death registry information with Medicare  
19 databases and answer that question, to know if  
20 we need to dig deeper in another way that  
21 doesn't require the same amount of resources,  
22 so I urge us to keep those ideas in mind.

23 DR. C. GOODMAN: A point very well  
24 taken given the discussion we had about  
25 outcomes, population and study design. Very

00296

1 good. Any further comments? Dr. Hammill.

2 DR. HAMMILL: Well, I will just say to

3 Mark there, I think that that, we could try to

4 look at that, but the Medicare claims data and

5 national death index doesn't define the patient

6 well up front, doesn't define the type of

7 procedure that was done on a patient, and

8 ablation isn't a code that's followed, so right

9 now we can't get to that data on even what

10 procedure has been done or who is doing the

11 procedure.

12 DR. C. GOODMAN: Okay, Dr. Satya-Murti,

13 do you have a comment?

14 DR. SATYA-MURTI: If coding were the

15 issue, and speaking as one who has been a

16 Medicare medical director, for various purposes

17 they would be willing, I think, to provide a

18 code tracking service, I think. Marcel might

19 know, but I think we have done this in the

20 past.

21 DR. C. GOODMAN: Okay. Although the

22 agenda asks for some closing remarks on my

23 part, we'll do this. I have a final question

24 for all of our panelists, and I'm going to ask

25 you to answer it in 30 seconds or one sentence,

00297

1 whichever is less, okay? So basically you've  
2 got 30 seconds or a sentence, and here's the  
3 final question. This is kind of an overarching  
4 question and I hope you will take into account  
5 what we've heard and said today. And that is  
6 what is that essential kernel of advice or  
7 insight that you would offer to Medicare, CMS,  
8 that is CMS for Medicare, and/or those in the  
9 field whose responsibility it is to generate  
10 evidence henceforth to better address the  
11 question of the appropriateness of these  
12 interventions? So what's the insight, or last  
13 observation that you can make in 30 seconds or  
14 less that you would like to express as a final  
15 remark to people here at CMS, and/or the people  
16 who are responsible for generating this kind of  
17 evidence about which we have spoken all day,  
18 these people in the field for this kind of  
19 intervention? And if it's your turn and you  
20 want to say it, you can say ditto, but you have  
21 to add another twist or another element to it.  
22 So let's start, going with Dr. Packer  
23 at the far end of the room, and just go in  
24 order. Dr. Packer, in 30 seconds or less,  
25 what's that last gem of wisdom that you've got

00298

1 to offer here?

2 DR. PACKER: Get the evidence.

3 DR. C. GOODMAN: Dr. Hammill.

4 DR. HAMMILL: The need to obtain  
5 evidence to make sure the outcomes are  
6 generalizable to the full community.

7 DR. C. GOODMAN: Thank you.

8 Dr. Thomas.

9 DR. THOMAS: I think we need to define  
10 the healthy robust 80-year-old.

11 DR. C. GOODMAN: Ms. Atkinson.

12 MS. ATKINSON: I think to make the  
13 inclusion criteria not so strict that it  
14 excludes the older adult population.

15 DR. C. GOODMAN: Dr. Umscheid.

16 DR. UMSCHIED: To be relatively clear  
17 with the indications for either procedure, who  
18 should be getting them.

19 DR. C. GOODMAN: Thank you. Dr.  
20 Maisel.

21 DR. MAISEL: I think we've come a long  
22 way in ten years and I feel fortunate that we  
23 have a viable therapy that we can offer our  
24 patients that helps some patients greatly, and  
25 I think we need to do a better job of

00299

1 clarifying exactly who those patients are.

2 DR. GOODMAN: Thank you. Dr. Dehmer.

3 DR. DEHMER: Inasmuch as this

4 technology is very similar to many other

5 technologies, clearly we need to get the

6 evidence, so ditto on that, but we need to

7 better define which patients and which

8 conditions will have the most benefit.

9 DR. CARLSON: I quote my former boss,

10 Dr. Albert Waldo, perfect isn't the enemy of

11 good.

12 DR. CALEGA: Ditto to a lot of what's

13 been said, but let's provide the funding to get

14 this evidence.

15 DR. C. GOODMAN: Evidence is not free.

16 Dr. Satya-Murti.

17 DR. SATYA-MURTI: Select a patient

18 very carefully, and set the inclusion criteria

19 and stick to it.

20 DR. C. GOODMAN: Thank you. My

21 feeling has to do with giving signals. These

22 MedCAC meetings have certain purposes. One of

23 the perhaps unstated purposes but perhaps most

24 helpful is that this is an opportunity to get

25 very strong and often helpful signals from

00300

1 diverse national experts on any given issue,  
2 and whether it has to do with outcomes of  
3 interest, patient populations, Medicare  
4 beneficiaries, real world settings, study  
5 designs or other, this panel has brought forth  
6 some very important and useful signals moving  
7 forward for the kind of evidence the  
8 decision-makers, patients, clinicians, payers  
9 and others are going to be seeking, and I think  
10 that those signals bear high regard.  
11 Before I turn it back to Dr. Salive, I  
12 want to thank all of our panel very very much  
13 for your wonderful insights. It was worth it,  
14 every penny that you got paid to come here to  
15 Baltimore for your excellent insight. I wanted  
16 to thank the six expert presenters for the  
17 splendid job that they did and your patience  
18 with our questions. I want to thank the expert  
19 team from Tufts EPC for doing a fine job,  
20 Dr. Rosenberg, who is not here, gave a splendid  
21 presentation earlier. So, he is still here,  
22 okay, out of sight. Thank you for that great  
23 job kicking us off.  
24 Dr. Salive.  
25 DR. SALIVE: Well, I have to echo all

00301

1 those thanks. I think this was a very thorough  
2 discussion of the evidence and very helpful,  
3 and sets the bar high for all the next MedCACs  
4 in terms of discussing evidence. The panel did  
5 a great job, the presenters all did a great  
6 job, and I want to thank especially the public  
7 for their attendance and attention for a long  
8 time here today, so everyone, safe travels  
9 home.

10 (Whereupon, the meeting concluded at  
11 3:53 p.m.)

12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25