

Transcript of October 17, 2001 Meeting

Please Note: This transcript has not been edited and CMS makes no representation regarding its accuracy.

00001

1

2

3

4

5

6

7

8

9

10 CENTERS FOR MEDICARE AND MEDICAID SERVICES

11 Medicare Coverage Advisory Committee

12 Executive Committee Meeting

13

14

15

16

17

18 October 17, 2001

19
20 Centers for Medicare and Medicaid Services
21 7500 Security Boulevard
22 Baltimore, Maryland

23

24

25

00002

1 Panelists

2

3 Chairperson

4 Harold C. Sox, M.D.

5

6 Vice-Chairperson

7 Robert Brook, M.D.

8

9 Voting Members

10 Leslie P. Francis, J.D., Ph.D.

11 John H. Ferguson, M.D.

12 Robert L. Murray, Ph.D.

13 Alan M. Garber, M.D., Ph.D.

14 Michael D. Maves, M.D., M.B.A.

15 Joe W. Johnson, D.C.

16 Thomas Holohan, M.D.

17 Daisy Alford-Smith, Ph.D.

18 Wade Aubry, M.D.

19 John Ferguson, M.D.

20 Barbara McNeil, M.D., Ph.D.

21

22 HCFA Liaison

23 Sean R. Tunis, M.D., M.Sc.

24

25

00003

1 Panelists (Continued)

2

3 Consumer Representative

4 Linda A. Bergthold, Ph.D.

5

6 Industry Representative

7 Randel E. Richner, M.P.H.

8

9 Executive Secretary

10 Janet Anderson

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

00004

1 TABLE OF CONTENTS

2 Page

3 Opening Remarks

4 Janet Anderson/Sean R. Tunis 6

5		
6	Charge to the Committee	
7	Harold Sox, M.D.	9
8		
9	Summary of Diagnostic Imaging (DI) Panel Findings -	
10	FDG Positron Emission Tomography (PET) imaging for	
11	breast cancer diagnosis and staging	
12	Barbara McNeil, M.D.	11
13		
14	Scheduled Public Comments	
15	Peter Conte, M.D.	18
16		
17	Open Public Comments	26
18		
19	Discussion	29
20		
21	Vote concerning DI panel findings	97
22		
23		
24		
25		

23

24

25

00006

1 PANEL PROCEEDINGS

2 (The meeting was called to order at 8:50

3 a.m., Wednesday, October 17, 2001.

4 MS. ANDERSON: Good morning and welcome,

5 Committee chairperson, members and guests. I am

6 Janet Anderson, Executive Secretary of the Executive

7 Committee of the Medicare Coverage Advisory

8 Committee, known as MCAC.

9 The Committee is here today to discuss and

10 vote upon the findings of the Diagnostic Imaging

11 Panel regarding the diagnosing and staging of breast

12 cancer using Positron Emission Tomography scanning

13 technology, or PET; discuss and vote upon the

14 findings of the Drugs, Biologics and Therapeutics

15 Panel regarding the use of levocarnitine injections

16 for end-stage renal disease patients.

17 The following announcement addresses

18 conflict of address issues associated with this
19 meeting and is made part of the record to preclude
20 even the appearance of impropriety. The conflict of
21 interest statute prohibits special government
22 employees from participating in matters that could
23 affect their or their employer's financial interests.
24 To determine if any conflict existed, the Agency
25 reviewed all financial interests reported by the

00007

1 Committee participants. The Agency has determined
2 that all members may participate in the matters
3 before the Committee today.
4 With respect to all other participants, we
5 ask that in the interest of fairness that all persons
6 making statements or presentations disclose any
7 current or previous financial involvement with any
8 firm whose products or services they may wish to
9 comment on. This includes direct financial
10 investments, consulting fees, and significant
11 institutional support.
12 And now I would like to turn the meeting

13 over to Dr. Sean Tunis and then to Chairman
14 Dr. Harold Sox who will ask the Committee members to
15 introduce themselves and to disclose for the record
16 any involvement with the topics to be presented
17 today.

18 DR. TUNIS: Thanks, Janet. I just wanted
19 to briefly welcome all of the Executive Committee
20 members as well as the guests who are attending.
21 Executive Committee members, we really appreciate
22 your willingness to come to each of these meetings
23 and provide your input, feedback and advice.
24 The only thing I wanted to mention, the
25 question has been asked to me again today whether

00008

1 this is the last time the Executive Committee will be
2 considering the recommendations made by a panel on a
3 specific coverage issue, and as I mentioned in the
4 past, the BIPA law passed last year, Benefits
5 Improvement and Protection Act, did go into effect
6 October 1st, or some pieces of it, and one part of
7 that legislation was intended to remove the
8 ratification function from the Executive Committee.

9 There were some minor drafting problems in that
10 legislation which makes it unclear as to whether in
11 fact your ratification function has been removed and
12 we're working on clarifying that language, so for the
13 time being, there is one scheduled panel meeting
14 coming up before the next Executive Committee, that's
15 I believe January 10th, the Diagnostic Imaging Panel
16 will be meeting to talk about use of PET for
17 Alzheimer's disease or suspected dementia, and the
18 Executive Committee will be meeting again after that
19 and whether or not you do or don't ratify or consider
20 ratifying that recommendation will depend on what
21 happens in terms of technical corrections for the
22 legislation. So I hope that is extremely clear, you
23 either will or you won't.

24 DR. BERGTHOLD: Yeah. If we do, will it
25 make it better?

00009

1 DR. TUNIS: So with that, I'd like to hand
2 the meeting over to Dr. Sox and we will proceed with
3 the business.

4 DR. SOX: Thank you very much. We have I
5 think a fairly straightforward agenda today and look
6 forward to the discussion this afternoon about a
7 number of unrelated items about how we as the
8 Executive Committee function.

9 I would like to start off by asking each
10 of the members to introduce themselves, and if you
11 have had any prior engagement with questions that
12 we're going to be discussing, and that could be
13 either financial conflict or it could be simply an
14 intellectual engagement if you've written an
15 editorial or something like that on the subject, I
16 think we need to hear that, and conceivably but
17 probably not recuse you from voting on the basis of
18 that. So please be sure to let us know not only
19 about your potential financial conflicts, but also
20 any intellectual conflict.

21 So, with that as introduction, Joe, could
22 you start by introducing yourself?

23 DR. JOHNSON: Joe Johnson, Paxson,
24 Florida, private practice chiropractic, no conflict.

25 DR. MCNEIL: Barbara McNeil, Harvard

00010

1 Medical School Health Policy and Radiology. I'm a
2 member of the Blue Cross TEC panel which reviewed the
3 original assessment on PET and breast cancer.

4 DR. MAVES: Mike Maves, Consumer
5 Healthcare Products Association. No conflicts.

6 MS. RICHNER: Randel Richner, Boston
7 Scientific. No conflicts.

8 DR. FERGUSON: John Ferguson, consultation
9 in healthcare. No conflicts.

10 MS. BERGTHOLD: Linda Bergthold, consumer
11 representative. No conflicts.

12 DR. SOX: Just before Dr. Aubry introduces
13 himself, I would like to introduce him as the newest
14 member of the Executive Committee, now the vice chair
15 of one of the panels, and by virtue of that is a
16 member of the Executive Committee, so welcome, Wade.

17 DR. AUBRY: Thank you. I'm Wade Aubry
18 from the University of California at San Francisco,
19 and I am vice chair of the Medical Devices Panel. I
20 was formerly the chairman of the Blue Cross/Blue

21 Shield Association's TEC medical advisory panel which
22 reviewed PET in the past. Otherwise, no conflicts.

23 DR. FRANCIS: Leslie Francis. I am in the
24 law school and philosophy department at the
25 University of Utah and I have no conflict or prior

00011

1 engagements.

2 DR. HOLOHAN: Dr. Tom Holohan. I am chief
3 of patient care services for the Veterans Health
4 Administration. No conflict.

5 DR. GARBER: Alan Garber, with the
6 Department of Veterans Affairs and Stanford
7 University. I also serve on the Blue Cross/Blue
8 Shield Association's medical advisory panel and have
9 reviewed PET in that context. I have also written
10 about PET when used for myocardial perfusion imaging.

11 DR. ALFORD-SMITH: Daisy Alford-Smith,
12 director of the Summit County Department of Human
13 Services in Ohio, and I have no conflict.

14 DR. MURRAY: Bob Murray, Advocate
15 Healthcare in Chicago. No conflicts.

16 DR. SOX: I'm Hal Sox, editor of Annals of

17 Internal Medicine, no conflict or prior engagements.

18 So, with that we will begin and we're

19 going to hear first from the imaging panel, and

20 Barbara, are you going to present in Frank's absence?

21 DR. MCNEIL: I am, thank you.

22 DR. SOX: Good.

23 DR. MCNEIL: Sox. As Hal mentioned, I am

24 standing in Frank's shoes here and he has a summary

25 which he prepared, but what I would like to do is do

00012

1 it a little bit differently and actually present a

2 quick number of slides to make it easier as we go

3 along to show you the things that we addressed, as

4 well our results. I would encourage you not to try

5 to match up the language I'm using with the slides,

6 because they are slightly different, but the content

7 is the same.

8 What we are going to be discussing here

9 are our deliberations on PET for the diagnosis and

10 staging of breast cancer. When I give you the

11 results on the subsequent slides, they were all

12 unanimous except for one, and I will tell you about
13 that when we get there.

14 On June 19th we heard a presentation of
15 the Blue Cross/Blue Shield TEC assessment by a staff
16 member of the association. We had scheduled
17 commentary from three individuals shown here. We had
18 open comment from several individuals shown here, and
19 they were either representatives of consumer
20 organizations, currently practicing, or representing
21 themselves or their field.

22 And in the course of the day we had a
23 considerable amount of interaction back and forth
24 between the panel and the commentators. It is
25 important to note that following the scheduled

00013

1 presentation, scheduled commentary, there was
2 considerable interaction back and forth.

3 So, I'm going to run through the questions
4 that we addressed, and you have the full report, I am
5 not going to go through all the data, that would take
6 up all day, so I'm going to give you the questions,
7 the results, and one or two pieces of data that led

8 to our decision.

9 So the first question was, is there
10 adequate evidence that PET can improve health
11 outcomes when used to decide whether to perform a
12 biopsy in patients with an abnormal mammogram or
13 palpable mass, and the issues here were very
14 straightforward. There were 13 studies and the
15 decisions came down to two parts. One is, the data
16 did not extrapolate for individuals who had a low
17 probability of having a malignant mass, and therefore
18 it was not possible to use the published data to make
19 a decision regarding the low probability individuals.
20 And then on the other side of the coin, the false
21 negative rate of the associated studies was high
22 enough that it precluded the use of this procedure
23 for patients with a high suspicion lesion. So, we
24 voted negative unanimously.

25 The next question was, could PET be

00014

1 helpful in determining which patients should be
2 biopsied right away versus which patients should be

3 followed up. So the question is, is there adequate
4 evidence that PET can improve health outcomes by
5 leading to an earlier and more accurate diagnosis of
6 breast cancer compared to a short-term follow-up in
7 patients with low suspicion lesions? And the answer
8 here was quite clear, there were no data. And when I
9 say no data, I mean no convincing scientific data;
10 there may have been a case report or two, but there
11 was nothing significant.

12 The next question had to do with a very
13 important one and that involved whether PET improves
14 health outcomes with regard to the decision to
15 perform axillary node dissection, since this is a
16 very important triage point in decisions regarding
17 treatment for these patients. And here the data came
18 down as follows: There was a meta-analysis of
19 studies that showed that the true positive rate
20 across all the studies in the field was about 80
21 percent, and the true negative rate was 89 percent,
22 with a false positive or negative of about 11
23 percent.

24 And looking at the typical prevalences of

25 disease positive nodes, prior possibility of having

00015

1 diseased nodes in these patients, it is quite clear
2 that with those sensitivities and specificities,
3 there would be a high risk of undertreating patients
4 with positive nodes using PET as a triage modality,
5 so again, this was voted down unanimously.

6 Next we moved to this question, is there
7 adequate evidence that PET improves health outcomes
8 as either an adjunct to or replacement for standard
9 staging tests in looking for locoregional recurrence
10 or distant metastases. And when we looked at that
11 question, we really thought that the question as
12 written lumped two concepts that we had a hard time
13 dealing with. And in the course of the deliberations
14 within the panel and the discussion of those who
15 commented on the analysis and some guest analysis, we
16 decided to split the question into two parts.

17 So we first considered whether PET could
18 be used in following up patients after they had been
19 diagnosed and after they had been treated for breast

20 cancer, and use PET as a replacement for standard
21 imaging modalities looking for disease recurrence,
22 and we again concluded that there were no data, so
23 that resulted in a negative vote.

24 Another question came up, well, what about
25 as an adjunct, suppose there is a patient with breast

00016

1 cancer and the physician is looking for recurrent
2 disease after treatment, and is quite sure or is
3 reasonably certain that there is recurrent disease,
4 what about PET as an adjunct to existing modalities
5 when that decision needs to be made. This one
6 generated quite a lot of discussion, I would say at
7 least an hour, and the results of the deliberation
8 shown there is we voted affirmatively with one
9 abstention.

10 And the reason for the vote is shown here.

11 We had two published studies in which the data were
12 adequate to show that PET could be used as an adjunct
13 to existing modalities. That's basically the all
14 else fails approach. The committee felt as a result
15 of the discussion that PET might be helpful in this

16 particular clinical situation and therefore, had this
17 split vote. It was a very close call, throughout the
18 discussion, and clearly the vote could have gone
19 either way to be honest, as indicated by the one
20 abstention, which could have been a negative vote, so
21 I want you to understand that it was a close call.
22 And then the final question was what about
23 using PET to evaluate tumor response to different
24 kinds of chemotherapeutic agents so that the
25 referring clinician would know whether to continue

00017

1 the patient on that particular modality of therapy or
2 to stop it and to switch to something else.
3 Obviously in that kind of situation, the
4 characteristics of the synergy modality have to be
5 quite good because patients are either going to stop
6 or get switched.
7 And we all agreed that it was probably, of
8 all of the things that we talked about, the most
9 promising and important aspect of the use of PET from
10 a clinical perspective, but the data were really

11 missing and they were missing from three
12 perspectives. First, the studies are inadequate.
13 Secondly, old, and old in the sense, not that they
14 were published in the 1930s, if just that they could
15 have been published recently but with
16 chemotherapeutic agents that are irrelevant because
17 they are no longer used, so in that regard it was not
18 possible to consider them. And the third reason we
19 gave for our decision was the fact that the
20 longitudinal follow-up of the patients wasn't
21 complete, so that patients dropped in and out and
22 therefore, it was never clear what the denominator
23 was for establishing specificity. Our bottom line
24 was because of those three indications and because of
25 the preliminary data from these inadequate, old and

00018

1 poor studies, even with those caveats, that there
2 would be a fair amount of risk of undertreating
3 patients or withdrawing them from therapy when that
4 should have been continued.
5 So our request is that you ratify these
6 recommendations made by the Diagnostic Imaging Panel.

7 That's it, I will be happy to take any

8 questions.

9 DR. SOX: We will proceed now to scheduled
10 public comment and will give anybody in the room a
11 chance to stand up and comment, and then the panel
12 has a good long period of time to discuss these
13 recommendations before taking a vote. I believe we
14 have one scheduled speaker, and if you could identify
15 yourself and let us know who you work for.

16 DR. CONTE: My name is Peter Conte,
17 associate professor of radiology --

18 DR. SOX: And if you have any conflicts or
19 prior engagements to report, I hope you will do that.

20 DR. CONTE: Peter Conte, associate
21 professor of radiology at University of Southern
22 California. I have been federally sponsored as well
23 as sponsored by the public and private sector firms
24 for conducting research in the area of PET technology
25 as well as clinical applications, so those are my

00019

1 broad conflicts.

2 Good morning, Mr. Chairman, members of the
3 Executive Committee, and ladies and gentlemen of the
4 community. On June 19th I appeared on behalf of the
5 Society of Nuclear Medicine and the American College
6 of Radiology, representing a combined membership of
7 over 42,000 professionals dedicated to providing high
8 quality diagnostic and therapeutics services, and
9 made a presentation to the Diagnostic Imaging Panel
10 on the utilization of PET in breast cancer, and that
11 is available as an attachment.

12 The presentation focused on new studies
13 that were to be presented the following week at SNM's
14 annual meeting in Toronto, Canada. At that time SNM
15 and ACR urged the panel to approve the use of PET at
16 the discretion of the referring physician in the
17 diagnosis of known or suspected recurrent or
18 metastatic disease for purpose of restaging patients
19 with breast cancer. After due deliberation, the
20 Diagnostic Imaging Panel voted affirmatively in
21 response to the following question: Is there
22 adequate evidence that PET improves health outcomes
23 as an adjunct to standard staging tests in detecting

24 locoregional recurrence or distant metastases in
25 recurrence when results from other tests are

00020

1 inconclusive. That's available in the minutes of the
2 June 19th meeting and as you just heard.

3 Today as we enter the next phase of
4 discussions, the positions of the ACR and the Society
5 of Nuclear Medicine remain unchanged on this issue.

6 We trust that this committee will agree with our
7 professional constituency as well as the decision
8 reached by your Diagnostic Imaging Panel and
9 recommend Medicare coverage of this PET indication.

10 Now speaking as a member of the PET
11 community at large, I would like to make reference to
12 a recently published article that appeared in the
13 September 2001 issue of the Journal of Nuclear
14 Medicine, which I believe demonstrates our ongoing
15 commitment to provide timely and relevant clinical
16 data supporting the role of PET in the breast cancer
17 population. A recurring question -- and by the way,
18 this should not mean, we are not requesting an

19 extension of what we have done, we're just requesting
20 that you listen to what our commitment is at this
21 point.

22 A recurrent question during panel
23 discussion on June 19th was whether the result of the
24 PET scans change patient management. In this recent
25 article, it was reported that a PET scan changed

00021

1 clinical management of 60 percent of women with
2 recurrent breast cancer. It also changed the cancer
3 staging of 36 percent of those scanned, and that's
4 also available as an attachment in your packets.

5 The study author, Johannes Churn from
6 UCLA, found that results from 50 patients with breast
7 cancer were reported by 32 different physicians in
8 this survey. Clinical management changes, including
9 moving from one type of treatment to another, for
10 example from surgery to radiation therapy, or medical
11 treatment to no treatment, other changes were within
12 the existing treatment, changing from one kind of
13 chemotherapy to another. The impact of the PET scan
14 results was also significant on disease staging.

15 More than a quarter, 28 percent were upstaged and 8
16 percent were downstaged. Before the scan, 36 percent
17 of patients were reported as having Stage IV cancer;
18 after the scan, more than 52 percent were at this
19 level as a result of finding previously undetected
20 metastasis.

21 These results reinforce the importance of
22 PET in making treatment decisions for women with
23 recurrent breast cancer. Better treatment decisions
24 should mean longer and better quality of life for
25 those suffering from this disease. It seems

00022

1 particularly appropriate that during October 2001,
2 National Breast Cancer Awareness Month, the Executive
3 Committee of the Medicare Coverage Advisory Committee
4 is presented with the opportunity to recommend
5 coverage for FDG positron emission tomography for
6 breast cancer. I again urge you support the specific
7 decision made by the Diagnostic Imaging Panel this
8 past June. I thank you for your attention and your
9 thoughtful consideration.

10 DR. SOX: Thank you very much. Are there
11 any questions that the panel members would like to
12 address to the speaker?
13 Barbara, maybe I could ask you if you
14 could try to put what you reported, particularly this
15 more recent study that I gather you didn't have a
16 chance to review, into context for us.

17 DR. MCNEIL: Well, it does make me feel a
18 little bit like a slouch, because I didn't read my
19 September JNM yet, so I haven't actually read this
20 article, so I really can't comment without reading
21 the article, Hal, I don't think that would be right.
22 I think it's not inconsistent with the
23 recommendation that we made as an adjunct to, but I
24 would not feel on the basis of what is written here
25 that it should influence our decisions on the other

00023

1 recommendations at this point.

2 DR. SOX: It sounds like if anything, it's
3 going to push us more toward an affirmative vote on
4 the recurrent issue, but it's also true that we
5 haven't had a chance to review the article and decide

6 whether the evidence in it justifies the conclusion
7 the authors do.

8 DR. MCNEIL: Actually, I think that's an
9 important point and I meant to make it during my
10 remarks. During our deliberations in June, there
11 were several other indications, or there was at least
12 one other indication that was brought before the
13 committee that was a possible question that we should
14 have been addressing, and it involved the potential
15 use of PET scanning for patients with dense breasts
16 in whom the diagnosis of cancer is sometimes very
17 difficult to make, and there was information
18 presented by several people in the audience, mostly
19 Dr. Gambhir from UCLA, who indicated that he thought
20 that just intuitively, this would be the right thing
21 to do, or a reasonable thing to do.

22 And the committee spent a long long time
23 talking about whether we should make decisions on the
24 basis of what hypothetically or theoretically might
25 seem like a reasonable thing to do in the absence of

1 any underlying data to support that decision, so we
2 made the decision that we should not do that. And I
3 think if this supports the decision that we made, and
4 I don't see any reason that it takes away from it,
5 then I think we should go with our recommendations.

6 DR. SOX: One thing that the panel might
7 want to discuss more procedural than anything else is
8 its response to a report which starts moving us in
9 the direction of better evidence but really stands in
10 isolation, and what the proper response is under
11 those circumstances. But I suggest we put that
12 discussion off until we get into the panel discussion
13 part of this presentation. So, any other comments?

14 John.

15 DR. FERGUSON: Just that the question was
16 posed is improving outcomes, and as I understand
17 Dr. Conte, the article says changing management. And
18 I would just comment that changing management is not
19 the same thing as improved outcomes.

20 DR. SOX: Very good reminder.

21 DR. FRANCIS: I just have a question. I
22 want to be sure I understand the logic. If PET is

23 used as an extra way to diagnose somebody with dense
24 breasts when some other diagnosis isn't doing it,
25 that's sort of logically like the way you separated

00025

1 the questions on recurrence, right? And I wanted to
2 ask you whether anybody had raised the question of
3 separating the question on initial diagnosis just as
4 you did on diagnosis of recurrences.

5 DR. SOX: While Barbara is thinking about
6 her answer to that, I just remind the panel members,
7 please use the microphone so that everybody in the
8 room can hear you easily.

9 DR. MCNEIL: The answer, Leslie, to that
10 is no, because the original question dealt with a
11 patient who had something on a mammogram, so the idea
12 of PET would be to separate out the false positives
13 from the true positives on the basis of the
14 mammogram. The issue of PET as a screening modality
15 basically came from the blue without any relationship
16 to any of these questions, and I don't think it can
17 be properly insinuated as part of these questions.

18 DR. SOX: Okay. Alan, do you want to
19 raise an issue related to the scheduled public
20 presentation or is this more for the general
21 discussion period?

22 DR. GARBBER: I'm just hoping we can get
23 Barbara's slides back up for the general discussion.

24 DR. SOX: Yeah, we can. Let's try to stay
25 on responses to the scheduled public presentation.

00026

1 MS. BERGTHOLD: I wanted to ask Dr. Conte
2 whether the phrase at the discretion of the referring
3 physician has any particular meaning. I don't see it
4 anywhere else and it does appear in his testimony,
5 and whether he was suggesting that, what does that
6 mean basically? Tell us a little more about that.

7 DR. CONTE: Well, that's actually not --
8 that's what we requested earlier, but that's not the
9 final language as you saw it that was shown on the
10 slide. The final language does not include that
11 phrase, so that's not what you're considering. But
12 our intention at that time was that we would have the
13 ability for the referring physician to interact with

14 the radiologist and nuclear medicine physician to
15 make an individual treatment decision on a particular
16 patient, so that there would be a need to do an
17 additional test because there was some issue in that
18 particular patient.

19 DR. SOX: Well, if there are no more
20 comments, then we will go on to the second part,
21 which is unscheduled open public comments. And do
22 you wish to, and again, please identify yourself and
23 state any relationships you might have that we ought
24 to know about in order to interpret your comments
25 correctly.

00027

1 DR. ADLER: My name is Lee Adler. I'm at
2 Fox Chase Cancer Center and an officer on the Board
3 of the Academy of Molecular Imaging, which was
4 formerly known as the Institute for Clinical PET,
5 which is the original petitioner to the former HCFA
6 for this indication, and I am representing the AMI in
7 making the statement that the AMI supports the
8 positive recommendation of the advisory panel last

9 June to support the use of PET as an adjunct to
10 conventional imaging in the evaluation of possible
11 breast cancer recurrence.

12 I believe brevity is a virtue, so that's
13 my statement.

14 DR. SOX: Thank you. Please.

15 DR. WAHL: I'm Richard Wahl, I'm director
16 of nuclear medicine at Johns Hopkins, and I'm in the
17 neighborhood. I'm also a member of the Academy of
18 Molecular Imaging and past president of that
19 organization, currently a member of the ACRS&M,
20 consultant to a number of, well, at least honorarium
21 from Siemens, who makes PET scanners, and GE who
22 makes PET scanners, as well as PET-Net, who makes
23 pharmaceuticals. The PET facility at Hopkins is part
24 of nuclear medicine. I have written a book on PET
25 and received royalties from that, and I think those

00028

1 are my major conflicts.

2 I wanted to just offer my personal support

3 and also reiterate that of the AMI on the

4 recommendation of the Diagnostic Imaging Panel from

5 June 19th. I had an opportunity to participate with
6 that. I believe that the vote on the approved area
7 was that it would be helpful, not that it might be
8 helpful, and I think Barbara said might be helpful,
9 and perhaps I misreclected, but clearly that was a
10 positive.

11 And I just wanted to mention that I had
12 recently authored an article which just came out,
13 actually came out in July, in Seminars in
14 Roentgenology, it's called Current Status of PET in
15 Breast Cancer Imaging, Staging and Therapy, and it's
16 my review of the PET literature and it basically
17 comes to a very similar conclusion as did the panel,
18 and I have this available if anybody on the committee
19 would like it, so I would encourage you to support
20 the recommendation. Thank you.

21 DR. SOX: Good to hear from both of you,
22 thank you very much. Would anybody else who's here
23 like to comment before we go into committee
24 discussion node? Any last chances to raise issues
25 that you would like us to discuss?

1 In that case, we will now go into
2 committee discussion mode, and I think we will in the
3 interest of trying to be very open in this meeting,
4 if people in the audience would like to put in their
5 two dollars worth of comments as we get going, we
6 will be happy to welcome that, try to stay as
7 informal as we can without totally degenerating into
8 an unstructured discussion.

9 So, Alan, could we first ask that
10 Dr. McNeil's Power Point presentation --

11 DR. GARBER: Actually, from my question,
12 Daisy pointed out we have a copy of the slides in our
13 folders, so it's not essential, but I don't know the
14 slide number, but it's the one that has the rephrased
15 question on adjunct use. It says, is there adequate
16 evidence that PET improves health outcomes as an
17 adjunct, et cetera, affirmative. And then your next
18 slide has adjunct data, two published studies,
19 inadequate data. Discussions suggest that when all
20 else fails, this might be helpful.

21 Now, I'm a little -- I'm not questioning

22 the conclusion, but I am, I guess I am questioning
23 whether you can answer that yes, there is adequate
24 evidence when you also claim that there is inadequate
25 data. How did the committee reconcile these, getting

00030

1 to that conclusion, to that question when you also
2 seem to have concluded there was inadequate data?

3 DR. MCNEIL: Alan, we had a terrible time.

4 I mean realistically, it was one of the most
5 difficult discussions I have ever been part of in
6 trying to reach a conclusion that seemed to be
7 reasonable. And in my mind there is no question that
8 the data as presented to us and as written in the
9 evidence report do not support this, they just are
10 not there.

11 DR. SOX: Some of us were hoping the
12 slides were going to remind us exactly what we're
13 talking about.

14 DR. MCNEIL: Janet, could you put up, try
15 number eight or nine.

16 So these two studies basically don't do it

17 realistically they don't do it, and in the course of
18 the discussion, Dr. Wahl in particular brought up
19 data that he had discussed in the article that he has
20 passed around, and there were several clinicians
21 there as well, and I actually can't remember who they
22 are now, who suggested that this was a when all else
23 fails approach, and that there were likely situations
24 in which patients would be worked up with everything
25 else that was available in which the suspicion of

00031

1 recurrent disease was high and therefore, PET might
2 be useful in those circumstances, might or would, I'm
3 not sure of which, but that it might be useful.

4 But it was one of our most difficult
5 questions and it was one of the ones that was least
6 crisply defined in terms of the data, so I don't
7 know, Alan. If we were to be making the decisions on
8 the basis of the published data alone, it would be
9 no, there is no question it would be no. I think we
10 gave a little slack to the situation and maybe we
11 shouldn't have, I don't know.

12 DR. SOX: Let me focus on that if I can

13 for a second. You said in patients where suspicion
14 is fairly high, so if you didn't have a test, then
15 you would do some direct approach like biopsy or --
16 DR. MCNEIL: If you knew where to biopsy,
17 I think that was the idea. For recurrent disease you
18 don't necessarily have any idea where to biopsy.

19 DR. SOX: But in patients where suspicion
20 is high, high pretest probability, that's where
21 diagnostic tests face the greatest challenge, because
22 they have to have an extremely low false negative
23 rate in order to, in order for a negative result to
24 lower the probability of disease enough so that you
25 could be confident you could sort of watch and wait,

00032

1 and you know, often a test with a sensitivity of 95
2 percent or better won't do it with a high pretest
3 probability. Is there any reason to expect that the
4 sensitivity of the test under these circumstances
5 could be that high?

6 DR. MCNEIL: I don't know.

7 DR. SOX: Would you care to make a

8 comment, Dr. Conte?

9 DR. CONTE: Actually I would. I would
10 like to make reference to an article by Peter
11 Hathaway actually that discussed the issue of MR
12 imaging of the axilla versus PET in patients with
13 suspected recurrent disease, and I think it directly
14 addresses this type of issue. And it was a small
15 study, albeit 10 patients, but 50 percent of those
16 patients had an equivocal MRI examination, but 100
17 percent of the lesions were detected on PET. So it's
18 a good example of showing you where an inconclusive
19 test such as an MRI to detect patients with suspected
20 locorecurrence had failed and the use of an adjunct
21 imaging test such as PET could come in, localize the
22 lesion and then proceed on with the rest of the
23 allegory, for example biopsy or surgical resection.
24 So I think there is some data to support
25 exactly the type of scenario that's being described.

00033

1 DR. SOX: So in these patients where the
2 MRI was equivocal and PET identified a lesion, do
3 these patients in fact have a cancer?

4 DR. CONTE: Yes, these were all surgical
5 or biopsy proven. This is a small study, and you may
6 have reviewed this in your original --

7 DR. MCNEIL: Yeah, actually, thank you,
8 Peter. I had forgotten that that was one of the key
9 examples that the audience brought to our attention.
10 It was brought to us by Bahs Alavi from Penn, who
11 talked about this clinical situation where there
12 might be recurrence in the axilla and MR or CT,
13 probably more likely MR were negative, and PET had
14 turned out to be positive. I actually believe that
15 has been the experience of the Farber in Boston. But
16 again, this information is not well documented.

17 DR. SOX: It's again, a very small study,
18 therefore, very wide confidence intervals on the
19 estimate of sensitivity and a fairly high probability
20 that the sensitivity could be considerably lower.

21 DR. MCNEIL: I think what Bahs was talking
22 about was fewer than 15 patients, something like
23 that.

24 DR. SOX: So if there were a hundred

25 patients and the sensitivity was still 100 percent,

00034

1 you would have much narrower confidence intervals and
2 be much more confident that a negative test meant
3 that nothing was there. Yes, please.

4 DR. WAHL: Richard Wahl again, from Johns
5 Hopkins. Being at the June meeting, I remember one
6 of the things we did discuss was the difficult
7 situation of the patient who had had breast cancer
8 and had had radiation therapy to the superclavicular
9 and axillary region, and those are very difficult to
10 examine on clinical examination and MR exams are very
11 difficult because there's often gadolinium
12 enhancement due to the radiation effects. In telling
13 -- those patients often have pain and can have
14 weakness in the arm, and it's very hard to tell if
15 they have recurrent breast cancer or if they have
16 just radiation damage to the nerves.

17 And PET, there were three articles
18 referenced in that review I gave you, references 55,
19 56 and 57, all relatively small articles, but all
20 showing the same thing, one of them being our

21 experience, that PET is much more reliable than
22 contrast MR in determining if this tumor has recurred
23 or not in that setting. Otherwise, you're stuck in a
24 situation where the surgeon has to do blind biopsies
25 of areas of MR enhancement which are often not

00035

1 clearly due to tumor. So the MR is probably 50
2 percent accurate in that setting.
3 These are small series, I agree, the
4 confidence intervals are wide, but a lot of groups
5 have seen this and I think several groups made the
6 same comment at the meeting, and these settings in
7 the soft tissues, especially after treatment, it can
8 be exceedingly difficult to tell what's going on by
9 standard diagnostic methods. Standard diagnostic
10 methods work best when the anatomy is not altered. I
11 mean, they look for symmetry and they look for normal
12 tissue planes, but as soon as you have altered tissue
13 planes, altered anatomy and altered contrast
14 enhancement due to radiation, then you have all kinds
15 of problems with standard imaging methods, and I

16 think that's where PET really excels in those
17 difficult cases, at least in our experience.

18 DR. SOX: Thank you. Daisy, were you --

19 DR. ALFORD-SMITH: Yes, I did have a
20 question. I am having some difficulty following and
21 understanding the panel's recommendations,
22 particularly if you use the slide that is currently
23 there where you are recommending, or at least you
24 voted in the affirmative with the understanding that
25 there was a connection in improving health outcomes

00036

1 as an adjunct, when in fact it could not be used or
2 seen as an adjunct just in determining whether to
3 perform a biopsy.

4 DR. MCNEIL: I'm not exactly sure what
5 your question is. Could you just rephrase it?

6 DR. ALFORD-SMITH: It appears to me that
7 by voting in the affirmative on this particular one
8 negates the negative that you voted on the previous
9 ones, because it appears that it could be used at any
10 time as an adjunct.

11 DR. MCNEIL: Well, the previous one was,

12 just to be clear, if I can be clear about what we
13 were talking about was if a patient is suspected of
14 having recurrent disease now with breast cancer, that
15 individual can get a bone scan if the pain is in the
16 bone, or perhaps an MR if they think it's likely, or
17 CT recurrent in the soft tissues, they would get one
18 of those tests, depending upon where the physician
19 feels the disease has likely recurred. So this would
20 be using PET as a replacement for.

21 And when we looked at the data that lined
22 up patients who had CT, MR, bone scans and PET, or
23 some combination of those in looking for recurrent
24 disease, we couldn't really tease out from the data
25 that PET had made a contribution that was positive in

00037

1 looking for recurrent disease over and above that
2 which was seen by the imaging modalities alone, or in
3 particular pairs. So that in our view was a
4 clear-cut negative, a clear-cut negative vote, the
5 data just weren't there.

6 This one, if anything, if we were to being

7 doing anything, we would say that the negative there
8 made this a negative, rather than the positive here
9 made that a positive. So, I don't know if that's
10 what you're saying.

11 DR. ALFORD-SMITH: That's exactly what I'm
12 saying.

13 DR. MCNEIL: Okay. So you're basically
14 going back to Alan's point that the negative vote on
15 the replacement is absolutely clear, it's negative,
16 there are no data to suggest that it can replace the
17 other modalities. This one was, you've done them,
18 you have this scarred neck or scarred axilla,
19 patient's got arm pain, that was the example that was
20 actually presented, and you just don't know why the
21 patient has arm pain. And the MR as I recall in the
22 case that was presented was kind of a mess because of
23 the previous radiation therapy and they just couldn't
24 see anything. So in that particular situation,
25 nothing was working, and that's what we meant by

00038

1 adjunct to in a unique situation.

2 DR. SOX: I think -- I'm not sure who was

3 next, but why don't you go ahead, Leslie?

4 DR. FRANCIS: I just wanted to ask, in the
5 argument there for why it changes patient management
6 is not just a false negative versus false positive
7 question but if PET shows you where to go, PET
8 contributes additional information when you have a
9 false negative on the one test.

10 DR. MCNEIL: Right. Now here you're
11 getting way beyond my knowledge of the management of
12 patients with recurrent breast cancer, way beyond,
13 but I think the idea was if you actually found out,
14 if it lit up in the axilla or the neck, you would
15 know exactly where to go to biopsy, you'd do the
16 biopsy and you'd find out it wasn't fibrosis, which
17 was one possibility, but it was actually recurrent
18 cancer. Somehow or other that triggers a treatment
19 decision, and it's clearly not more radiation
20 therapy, they have probably maxed out there, but it
21 would be some kind of chemotherapy that they would
22 try, I don't know the decision tree for the treatment
23 there.

24 DR. SOX: Alan? Oh, before we go on, I
25 would like a late arrival, Dr. Brook, and Bob, could

00039

1 you introduce yourself, state your affiliation and
2 state any conflicts or prior engagements you might
3 have had on the issues that we're going to be talking
4 about carnitine deficiency in end-stage renal disease
5 and PET for breast cancer.

6 DR. BROOK: Robert Brook from Rand at
7 UCLA. The only conflict that I know about is that my
8 mother, who was on Medicare, was referred to a PET
9 scan for breast cancer, so that's the only conflict I
10 have and I don't think that disqualifies me.

11 DR. SOX: Thank you. Sean, please?

12 DR. TUNIS: I just wanted to also mention
13 for the committee that I just noticed walk in the
14 room, we do have a card carrying oncologist, Ellen
15 Feigal has joined us, she's somewhere in the
16 audience, she's going to be speaking later. So if
17 you have some questions about management of breast
18 cancer and want to ask a real oncologist, she's
19 probably not the only one in the room, but at least

20 she is here and I am announcing to her, now available
21 for consultation.

22 (Laughter.)

23 DR. SOX: The doctor is in. Alan.

24 DR. GARBER: Well, Barbara, if I might

25 take a little liberty with the language here, it

00040

1 seems to me that your panel would have felt
2 comfortable, and correct me if I'm wrong, answering a
3 question, does it appear likely that PET improves
4 health outcomes as an adjunct? What you said in the
5 next slide about the inadequate data, notwithstanding
6 the other data we've heard about now, the panel had
7 concluded, they wouldn't have had to struggle with
8 this if they thought the data were adequate. Is that
9 a fair statement?

10 DR. MCNEIL: Absolutely.

11 DR. GARBER: So, it seems to me the panel
12 concluded the data were inadequate, notwithstanding
13 the other studies we've heard about, and we could go
14 into what these studies mean, and my interpretation

15 of what we heard is that there is a solid rationale
16 to support the use of PET, but its implications for
17 health outcomes may not have been fully worked out by
18 the available literature.

19 DR. MCNEIL: That's correct.

20 DR. GARBER: And so therefore, the
21 question that the panel addressed, it seems to me by
22 our normal standards of adequate evidence, the
23 panel's logic would lead to a negative on this, yet
24 an affirmative on a closely related question of, do
25 we think this is likely to be helpful. Would that be

00041

1 a fair statement of the point of view of the panel?

2 DR. MCNEIL: So we would change that to
3 say, is it likely that PET improves health outcome.

4 DR. GARBER: Or does it appear promising,
5 or language of that sort, because usually when we
6 talk about adequate evidence we mean that the
7 scientific basis is pretty clear, or clear enough
8 that we feel comfortable concluding that it's
9 established, and additional studies might be needed
10 to refine some details, but basically the information

11 is in, and it doesn't seem that was the conclusion
12 your panel reached.

13 DR. MCNEIL: No, actually that's a really
14 terrific comment. I think if we did change it, it
15 would reconcile the two slides and it would make
16 Daisy feel better as well, it's clear the data aren't
17 adequate, there's just no question about it, but
18 there is a possibility that -- so, I'm the only one
19 from the committee here, but I think that was clearly
20 in the spirit of the decision or the recommendation
21 by the committee.

22 DR. SOX: Another way to look at that is
23 the panel is going to the point estimates for
24 sensitivity and kind of willing to ignore the broad
25 confidence intervals because statistically, you know,

00042

1 it's most likely that the point estimates will be the
2 correct estimates when you get a bigger sample.

3 DR. GARBER: Well, Hal, actually I don't
4 think that the sample size is the fundamental issue
5 here. The sample size is one weakness of any study

6 that has ten subjects, but for all we know there may
7 be many others, and I didn't review the many other
8 weaknesses, biases, ascertainment bias, issues in how
9 the patient populations were selected, and so I'm not
10 saying these studies are guilty of that but a full
11 review would have to account for that, and the panel
12 which did review the data, Barbara is telling us,
13 just did not feel they were adequate, and it could be
14 for any number of reasons, not only sample size.

15 DR. SOX: I agree, point well taken.

16 Dr. Conte, if you'd like to comment, please step
17 forward.

18 DR. CONTE: Peter Conte again, University
19 of Southern California. I just want to also
20 reiterate that I think the panel in our opinion from
21 the public side was heavily swayed by clinical
22 practice issues in addition to the literature,
23 because there was a lot of discussion about the use
24 of PET in specific situations and how it could change
25 management.

00043

1 I also want to point out the fact that,

2 there was a comment made earlier about health
3 outcomes versus altered management by one of the
4 panelists, I don't remember who made the comment, but
5 I think that's obviously an important consideration.
6 If you're not specifically dealing with long-term
7 health outcomes that are heavily dependent on
8 therapeutic decisions, but are we using PET to make
9 specific management changes so that patients may
10 enter certain algorithms as opposed to others on the
11 basis of those findings, so again, it's important to
12 consider that in this question, if you will, the way
13 it's phrased.

14 DR. SOX: Thank you. Deb, please
15 introduce yourself.

16 DR. ZARIN: Dr. Deborah Zarin, the
17 director of the technology assessment program at
18 AHRQ, and the breast cancer report was commissioned
19 by us for CMS. As I recall the discussion at the
20 panel, the thing that was different about this was
21 that there were clinical situations where the
22 alternatives were really inadequate. In other words,

23 there were patients with a high prior probability or
24 some moderate prior probability of having a recurrent
25 lesion, or locorecurrence, and there was no other way

00044

1 to find out where it was, and sometimes PET worked,
2 PET did identify a place where you could then go
3 biopsy.

4 As opposed to one of the earlier questions

5 somebody asked about, which is why wasn't it good
6 enough instead of a biopsy in other situations?

7 Those were cases where you knew what to biopsy and
8 the biopsy didn't cause a lot of morbidity, so it was
9 more accurate and therefore better to do biopsy.

10 What we've heard today is clinical situations where
11 it's not clear where to biopsy but there is a
12 suspicion that there's something there, and for at
13 least some patients, PET was able to sort of direct
14 more invasive work-up. So I think that was some of
15 the discussion. Barbara, is that your recollection?

16 DR. MCNEIL: I think that's correct.

17 DR. ZARIN: So it wasn't that they were

18 willing to take the point estimate of sensitivity and

19 specificity, it was sort of however good it was, it
20 was better than anything else that people could come
21 up with in that clinical situation.

22 DR. SOX: Thanks. That's very helpful.

23 Barbara, let's not leave Alan's point, and I'm
24 wondering whether we might want to discuss alternate
25 language on this, focusing on this issue of adequate

00045

1 evidence.

2 DR. MCNEIL: Well, Alan had some good
3 language. What was it, Alan?

4 DR. GARBER: Well, let me tell you a way
5 it could be rephrased that I would have no trouble
6 dealing with, and I want to emphasize, I'm only
7 looking at the panel's internal logic. I'm not
8 trying to make any claims that I know the evidence
9 well or anything, but I think it's quite obvious that
10 the panel seems to have contradicted itself by voting
11 in the affirmative on this particular question and
12 then also concluding the evidence is inadequate.
13 So my, I would say the panel seemed to

14 have affirmed the question, is it likely that PET
15 improves health outcomes as an adjunct, et cetera,
16 et cetera.

17 DR. SOX: Say that one more time, not
18 quite so quickly.

19 DR. GARBBER: Is it likely that PET
20 improves health outcomes when used as an adjunct to
21 standard staging tests?

22 I think Dr. Wahl has something.

23 DR. SOX: Dr. Wahl?

24 DR. WAHL: Again, Richard Wahl. I just
25 wanted, before you change the text of what the

00046

1 committee voted on, I just wondered if I was clear.
2 They did vote on the data that was presented and
3 available to them, which was more than the published
4 database, that this was the conclusion of the
5 committee. So I wanted to just have clarification.
6 Dr. McNeil said there was inadequate data on, was it
7 your next slide?

8 DR. MCNEIL: The previous one.

9 DR. WAHL: Okay. But was that conclusion

10 that there was inadequate data based on your
11 assessment as head of the Blue Cross technical
12 assessment, or was that the committee's vote that
13 there was inadequate assessment?

14 DR. MCNEIL: Rich, I thought there were
15 two things. I thought that our judgment about
16 inadequate data as a replacement came from the report
17 that we were given by CMS.

18 DR. WAHL: I just didn't think that the
19 committee ever voted that there was inadequate data
20 on this particular point, that was the clarification
21 I was trying to get.

22 DR. MCNEIL: I see.

23 DR. WAHL: Because I think that they're
24 being put up there as equal, but I think the full
25 committee voted on the statement but the inadequate

00047

1 data, and might be helpful, I thought was your
2 assessment from your read. So maybe I misunderstood,
3 but I thought it was worth clarification. Maybe you
4 need to look at both slides.

5 DR. MCNEIL: Janet, could you put them

6 back up?

7 DR. GARBER: Well, the other one simply

8 says two published studies, inadequate data. It

9 doesn't say anything about unpublished studies.

10 DR. WAHL: But I am simply saying that the

11 body of evidence they examined was more than that at

12 the committee.

13 DR. MCNEIL: Here was the problem. We

14 examined critically the data that were presented to

15 us and that had been commissioned by AHRQ and

16 implemented by the Blue Cross TEC panel. We analyzed

17 those data with a fine-toothed comb. We were then

18 presented with several little summaries, 15 patients

19 here, 10 patients there, that were largely within the

20 rubric of we're just at wit's end. Radiation therapy

21 has destroyed the anatomy, we really can't figure out

22 what's going on, and there were several of those

23 scenarios. We actually never looked at the data for

24 those scenarios, there were no published data that

25 anybody presented. And Rich, I have to confess, I

1 haven't read your article from July, so it may very
2 well be in there.

3 We didn't look at any primary data and
4 dissect the integrity of the clinical study in terms
5 of prospective and consecutive and no verification
6 bias and blinding and blah, blah, blah. We didn't do
7 any of that, because all we had was somebody get up
8 and say you know, 10 patients.

9 DR. BROOK: What is the health outcome
10 that they reported to say they have influenced?

11 DR. MCNEIL: Treatment decisions.

12 DR. BROOK: So that's not an outcome. I
13 mean in the true sense of the words, that's a
14 process, and in terms of what they would do next to
15 the patient. But in terms of a health status outcome
16 or even a patient satisfaction outcome, did they
17 present any data that was an outcome?

18 DR. MCNEIL: It depends, Bob, on what you
19 mean by an outcome for a diagnostic test. If you
20 take as an outcome of a diagnostic test that it leads
21 you to the proper site to biopsy and therefore the

22 patient has only one biopsy instead of two biopsies,
23 some people might view that as an outcome. Now they
24 didn't present the data for that, I'm not suggesting
25 they did, but that might be considered an outcome.

00049

1 DR. BROOK: I have no problem with the
2 inappropriate biopsy or removal of tissue or
3 something being an outcome, but you didn't say that
4 they did that, because they --

5 DR. MCNEIL: What they said was, and
6 they're not here, Bahs is not here, Rich is here, was
7 to say that by seeing a lesion after one test which
8 was indeterminate on MRI because of fibrosis or
9 whatever, they then were able to guide the surgeons
10 to biopsy that spot.

11 DR. BROOK: I'm not arguing that, I
12 believe that's all true, I don't think there is any
13 question about that.

14 DR. MCNEIL: Okay.

15 DR. BROOK: I think the question is, is
16 that good or bad in terms of an outcome for the
17 patient? Because you have such a high probability

18 that there is nothing there in the first place when
19 they go through all these things, then the question
20 of the treatment of what you do with this population
21 is -- I mean, I have no problem that you say if
22 you're looking for a place to biopsy in a place that
23 has -- I mean, there's lots of reasons, there's old
24 scarring in the upper lobe.

25 DR. MCNEIL: So really what you're asking

00050

1 is would they not treat the patient in whom they have
2 a high suspicion of recurrent disease absent a
3 pathologic marker or a histologically positive
4 specimen, or would they treat the patient anyhow with
5 some new chemotherapeutic agent because the prior
6 probability of recurrent disease is so high? That's
7 really the pivotal decision and I don't know, and we
8 have to ask our resident oncologist, and maybe Rich
9 knows.

10 DR. WAHL: Having been there, I can
11 comment about some of the scenarios that were
12 discussed, and I know Dr. Alavi discussed one of

13 them. But in the situation of brachial plexus
14 disease recurrence, trying to tell it from radiation
15 damage, radiation damage versus recurrent tumor,
16 obviously the treatment for radiation damage is not
17 chemotherapy. Some chemotherapies like Taxol which
18 are common second line, or common therapy in breast
19 cancer for salvage, causes nerve damage, so giving
20 that kind of chemotherapy in somebody who already has
21 radiation induced nerve damage would not be good.
22 Similarly, not giving chemotherapy to somebody with
23 cancer would be bad as well, and in some of these
24 locations the biopsy is so difficult because the
25 biopsy is destructive and you have the nerves that go

00051

1 to the arms, so you can end up with loss of sensory
2 -- you know, in some locations it is just exceedingly
3 difficult to biopsy.

4 And before you came in, we were discussing
5 the fact that the MRs in these patients are often
6 markedly abnormal with very large areas of contrast
7 enhancement that are not specific, so in that
8 particular situation, the decision would change a

9 therapy and the therapy could have adverse effects.
10 That was just one thing discussed.
11 DR. BROOK: I understand that. All I'm
12 asking is, is this, when you looked at the evidence
13 on the panel, when they actually presented even the
14 studies that are not published to you, did they in
15 any way purport to show that they affected that
16 outcome positively? I mean, this all makes a lot of
17 logic, just like the old studies from Italy made a
18 lot of logic for doing intensive screening in
19 following up women with breast cancer, just like
20 adjuvant bone marrow made a lot of logic. There are
21 lots of things that make a lot of logic in medicine
22 but when studied they don't -- I have no problem in
23 saying this is a logical case that make a lot of
24 logic, I'm just wondering was there enough even
25 nonpublished evidence to suggest.

00052

1 DR. MCNEIL: I think that the data that
2 were presented were of the flavor that Dr. Wahl just
3 gave. I don't think it was anymore quantitative than

4 that.

5 DR. SOX: Dr. Conte, do you want to

6 comment on this point?

7 DR. CONTE: Again, I go back to the issue

8 I made before, that there is not much on long-term

9 therapeutically derived health outcome data. So

10 again, in the article that I cited in the statement

11 this morning, 60 percent of women in this study, as

12 reported by 32 different medical oncologists, had

13 altered management on the basis of the PET findings.

14 I think that that is pretty clear. They made 32

15 different, medical oncologists made a decision that

16 was different in 60 percent of the cases.

17 DR. SOX: I would like to move us back

18 toward whether we're going to vote on this question

19 or another question. Alan, you had your hand up.

20 DR. GARBER: I think there is an important

21 point of fact, and this fact may turn into opinion

22 about what the panel really believed, and it's

23 unfortunate that we don't have the whole panel here

24 to discuss this with them, but it's whether they

25 believe that the evidence was adequate. So we have

1 heard from, we have heard that the published data was
2 clearly inadequate and I assume there was a
3 consensus, and then you're left with unpublished
4 data. And I guess that Dr. Wahl or Dr. Conte said
5 that the unpublished data swayed the panel into
6 thinking there was adequate evidence.

7 Now, and I think Dr. McNeil believes maybe
8 that wasn't true, and that's what we're left with.

9 And I think this is a crucial point, because it
10 determines whether the affirmative answer to the
11 question really flows from the logic that the panel
12 engaged in. But on the point of unpublished data, I
13 think it's important to point out that virtually
14 every structured evaluation of evidence discounts
15 unpublished data heavily for reasons we are all
16 familiar with. It's pretty unusual to have, let's
17 say it's an abstract. We've all seen time after time
18 that published abstracts when they ultimately appear
19 as published journal articles may have very different
20 conclusions, including very different results. It's

21 very hard from many of these unpublished studies to
22 actually know what the structure of the study was to
23 determine whether the study design was reasonable and
24 would lead to reasonable outcomes. And again, I'm
25 making general points, not points about the data that

00054

1 you discussed at the panel meeting.

2 But this I see as an important issue, was
3 the unpublished data enough to persuade the panel
4 that there was adequate evidence or did it instead
5 persuade the panel that this looked very promising,
6 would be a useful treatment. So I think we need to
7 reach some conclusion about that and if it's the
8 latter, I would suggest we go with the alternative
9 language that I proposed, or something like it.

10 The other point though, Dr. Wahl has
11 talked about circumscribed settings in which this
12 could be very useful, which I think is important for
13 us to know and important for CMS to know in
14 determining a reimbursement policy, but he's
15 describing situations that are much more narrowly
16 circumscribed than the ones in the language on this

17 question. So that's something I think CMS needs to
18 deal with. It's suggesting that there are some
19 conditions in which the added information from PET
20 could be extremely useful, but that may be a small
21 subset of conditions that fit under this language.
22 DR. SOX: Well, I put on the agenda for
23 this afternoon's discussion something to the effect
24 of unpublished and late studies and how panels should
25 deal with those, which I think the Executive

00055

1 Committee ought to discuss that and try to give some
2 direction to the panels, but meanwhile, we need to
3 move this discussion toward a vote. Alan, you
4 directed a question to Barbara. Barbara, do you want
5 to respond?

6 DR. MCNEIL: Alan, I think this is a very
7 troubling question. I presented the deliberations of
8 the committee, but I cannot emphasize how much we
9 struggled with this, and I don't think anybody would
10 want to die on the basis of the decision that they
11 made, so I think we made a considered judgment

12 listening to the facts, but the judgment was not as
13 rigorously based as it was for the other questions.
14 That is just a fact. We did the best we could, but I
15 can honestly not say it was done with as strong an
16 information base as we had for the other questions.
17 So, having said that, the answer to your
18 question, which was did we view it on the basis of
19 adequate data, did we make a judgment on the basis of
20 adequate data or did we make a judgment on the basis
21 of promising or likely, it was clearly not the
22 former, clearly not the former, because we just had,
23 you know, I saw 11 patients kinds of scenarios, so we
24 did not look at anything rigorously presented. So we
25 can definitely not say it was based on adequate

00056

1 evidence, and you're right, the wording here is all
2 wrong.

3 DR. SOX: So we really need to change this
4 wording?

5 DR. MCNEIL: The wording has to be changed
6 and I'm sorry we didn't pick that up ourselves.

7 DR. SOX: So is it likely that rather than

8 is there adequate evidence, it is likely that?

9 DR. MCNEIL: It is likely that is closer
10 to the spirit of the group. Alan also, however,
11 raised the issue about whether our discussion relayed
12 to the whole panoply of patients with breast cancer
13 or with a more narrow subset, I think is what you
14 were asking, and as I recall, it was a more narrow
15 subset. Sean was there, so you could probably recall
16 this as well, or Deborah, we really were
17 concentrating largely on the specific areas in the
18 head, neck and axilla, but we didn't have any
19 information on the other areas, to my knowledge.

20 DR. SOX: So you accept as a friendly
21 amendment from Alan the substitution of --

22 DR. MCNEIL: It is likely that.

23 DR. SOX: Is it likely that, in the form
24 of a question.

25 DR. MCNEIL: Yes.

00057

1 DR. SOX: Okay. So that's been resolved.

2 Now we'll go on to other people. I don't know who

3 had their hand up first. Bob will start.

4 DR. BROOK: I'm just wondering if we just
5 ought to state what the person stated, that there is
6 adequate evidence that PET improves, changes decision
7 making.

8 DR. MCNEIL: I don't know that we had data
9 on it. We did not review that article, Bob, so I
10 can't say that that was a good article.

11 DR. BROOK: Well, you had a lot of
12 unpublished data and you had reports that people
13 changed decision making. And you also have evidence
14 that they changed decision making based on a logic
15 that would relate, an implicit logic, a medical
16 clinical logic that would relate that to outcomes,
17 but there is no evidence that that logic has been
18 tested to affirm that that is indeed true. That
19 seems like what you're saying.

20 DR. MCNEIL: No, that's not what I'm
21 saying. I do not believe that we had at the time,
22 and I cannot accept information from an article that
23 the panel has not yet reviewed, that those studies
24 were adequate to show that patient management was

25 changed. It is likely that, I accept that, I cannot

00058

1 accept the adequate in patient management.

2 DR. BROOK: Well, we're not making the

3 coverage decision. If HCFA wanted to say, or we

4 wanted to say from your panel, was there enough data

5 presented in some form, that the panel believed there

6 was adequate data to show the tests were being used

7 in a way that changed from a prior to a post decision

8 of what could be done, because that's important for

9 HCFA to put in the hopper if it decides to make, or

10 when it decides what to do with the coverage

11 decision.

12 That sounded like you were all in

13 agreement, and indeed you believe that there was

14 enough data in the series available to support that

15 doctors were using these data to change their

16 decisions.

17 DR. MCNEIL: Well, again, it depends upon

18 what you mean by data, Bob. We did not have an

19 adequate review, we did not critically review the

20 data to suggest that I would feel comfortable
21 speaking on behalf of the committee to say that the
22 data were adequate to support that PET improves
23 management decisions. It may be true but we did not
24 have the data at our hands to do that, and I don't
25 know about this one article in September's JNM. I do

00059

1 believe we supported the decision that it is likely
2 that.

3 DR. SOX: Okay. Staying with this point,
4 Wade.

5 DR. AUBRY: Yes. Before we change the
6 question, I would like to just add another dimension
7 and that is the issue of prognosis or prognostic
8 information. Much of the discussion we have had
9 about unpublished evidence or data is basically that
10 it would change management decisions, but another
11 piece to this is prognosis, and if PET shows that
12 it's Stage IV disease rather than local disease, then
13 that's obviously a significant prognostic issue, and
14 I wondered if that came up in the discussion or was
15 that mentioned, because some people feel, myself

16 included, that prognostic information is a health
17 outcome.

18 DR. MCNEIL: We discussed the questions
19 that were asked of us and reviewed the data
20 associated with those questions. If you were to ask
21 about whether PET, I guess the question you're asking
22 me is should PET be used at the time of the initial
23 diagnosis of breast cancer to stage patients; is that
24 what you're asking?

25 DR. AUBRY: That's not what I'm talking

00060

1 about. This specific situation we're talking about,
2 the adjunct situation, where the unpublished
3 discussion seems to indicate that there are some
4 patients who were thought to have local disease who
5 were in fact found to have distant metastases or
6 Stage IV disease on the basis of this adjunctive test
7 after others were done and not shown that.

8 DR. MCNEIL: That's correct, so really
9 implicit in the wording here is, whatever wording we
10 take, if we detected distant disease then we've

11 obviously changed stage, just by definition and then
12 that obviously changes prognosis, so they are
13 implicitly part of one another, right? So I don't
14 know that we need a separate question about prognosis
15 because that's imbedded in the whole discovery of
16 distant disease.

17 DR. AUBRY: Yeah, maybe there's not really
18 an answer to that question. I think it is something
19 to keep in mind because we seem to be struggling with
20 the idea of this unpublished data changes management,
21 it's unclear whether that improves health outcomes,
22 it may well improve health outcomes, but we don't
23 know, but prognostic information itself may be very
24 important to a patient, maybe an outcome a patient
25 could feel regardless of whether that change in

00061

1 treatment management actually improves the health
2 outcome of the patient in terms of survival. I just
3 thought we should factor that into the discussion as
4 well.

5 DR. SOX: Dr. Conte, did you want to make
6 a comment at this point?

7 DR. CONTE: Yes. I just want to point out
8 that the panel felt on the basis of what was
9 presented and what was in the literature, both, that
10 there was adequate evidence to answer this question.
11 That's what they voted on. This was what was
12 presented to them.

13 I think it should also be disclosed that
14 five voted affirmatively and one abstained. The
15 person that abstained, if I'm not mistaken, was
16 Dr. McNeil.

17 DR. MCNEIL: No, that's not true.

18 MR. CONTE: That's not correct?

19 DR. MCNEIL: No, it's not.

20 DR. CONTE: You voted for? Who abstained?

21 DR. MCNEIL: I don't know who abstained.

22 MS. ANDERSON: I think it was Jeff Lerner.

23 DR. CONTE: Okay. So the fact of the
24 matter is that the majority of the members of the
25 committee voted this question that there was adequate

00062

1 evidence presented at the Diagnostic Imaging Panel

2 for this indication.

3 DR. MCNEIL: You know, Peter, I'm not sure
4 about that to be perfectly honest. We would have to
5 go back and do a line-by-line analysis of the
6 minutes.

7 DR. CONTE: I have the minutes here.

8 DR. MCNEIL: Okay. If we voted that, just
9 to be -- if you want the spirit of the deliberations,
10 and I don't know whether you do, Dr. Sox.

11 DR. SOX: Well, it's our job to try to
12 capture the spirit of the discussion, and we as an
13 executive committee can alter the wording of a
14 resolution if we feel by so doing it fits, it more
15 adequately describes the tenor of the discussion, and
16 we listen to you as the representative of the panel
17 to give us advice on that.

18 DR. GARBER: How they voted, that's a
19 matter of record.

20 DR. MCNEIL: The sense of the panel,
21 whatever the word of the deliberations was, and I
22 tried to convey it in my remarks by saying had the
23 wind blown a little bit differently, the five to one

24 vote could have switched. I mean, that was
25 realistically the way we were thinking about it, so I

00063

1 do not believe that the spirit of the committee was
2 that there was adequate evidence. I think Alan's
3 assessment of the wording is much closer to what our
4 feelings were at the time.

5 DR. SOX: And I personally believe that
6 the committee ought to be listening to Barbara rather
7 than the record as it's reflected there, and trusting
8 Barbara as a representative of the panel to tell us.

9 DR. BROOK: I really don't understand, I
10 must object. Barbara voted for this thing, Barbara
11 understood the words of this thing, this is what was
12 voted on.

13 DR. MCNEIL: Well, could I just clarify,
14 Bob?

15 DR. BROOK: I really don't understand what
16 we're doing here.

17 DR. MCNEIL: Let me clarify for you. What
18 happened, I prepared these slides quickly at three

19 p.m. yesterday when Janet told me I was making the
20 presentation, so prepared these slides 15 minutes
21 before leaving for the airport. So if there is some
22 sloppiness in the wording, I apologize. If I had had
23 more time --

24 DR. BROOK: So this is not what you voted
25 on then? Can we get the minutes from the committee

00064

1 of what actually -- I mean do we know, because what
2 we're being asked to do is overturn a vote that the
3 chairman of the committee voted for and is now
4 presenting it differently here. It's not like there
5 was vast disagreement and we're being asked to, this
6 was so close. A five to one vote doesn't look very
7 close. You as the chairman voted for it, and is this
8 what you all voted for?

9 DR. MCNEIL: I do not believe, Bob, that
10 this is what we voted for in spirit. I believe what
11 we voted for was Alan's wording.

12 DR. TUNIS: Can I make a comment, because
13 as another person who was at the meeting and, I
14 believe, it seems to me a fair amount of this

15 confusion is simply over different interpretations of
16 what the word evidence means here. I think what the
17 committee concluded was that the published evidence
18 was by itself inadequate to support a conclusion of
19 the clinical benefit, health improvement of using PET
20 under these circumstances.

21 The committee listened to a lot of public
22 testimony and there was a lot of discussion about the
23 logic of using PET in various specified
24 circumstances. Dr. Wahl described some of them,
25 others described some of them, and I believe when the

00065

1 committee voted on this question, they were including
2 using evidence as a broad term to mean not just
3 published and unpublished evidence but the expert
4 testimony that was provided. And so all adequate
5 evidence meant here was the body of everything we
6 have heard supported this conclusion, just barely,
7 but the committee was willing to support that five to
8 one.

9 If they had specifically asked the

10 question, is there adequate evidence from these two
11 published studies to support this conclusion, I
12 believe the committee would say no to that question.
13 They're just two different questions that seem to be
14 wrapped into the same question. So I don't really
15 think there is as much disagreement here as it sounds
16 like.

17 DR. MCNEIL: So we should have had a
18 second mitosis on this question.

19 (Laughter.)

20 DR. SOX: Anybody else want to pick up the
21 discussion at this point?

22 DR. FRANCIS: I think I understood what
23 was just said but I want to be clear about this,
24 because I thought really that two different problems
25 for the committee keep getting put together. And one

00066

1 of the problems was what to do with either
2 unpublished or new studies that happen after you get
3 a TEC report, okay, so that was one problem, and how
4 do you decide whether they are adequate or not or how
5 do you think about them.

6 The other problem was what to do when the
7 question changes, so that the question that you ended
8 up talking about was, does PET affect patient
9 management in the very narrow class of cases in which
10 you tried other diagnostic modalities, there is a
11 high suspicion, high prior probability of recurrence,
12 and the other diagnostic modalities haven't told you
13 anything informative. Does PET in those
14 circumstances affect patient management, which is a
15 different question than -- the original question that
16 the panel was asked was a much broader question.
17 So two things were going on. One was new
18 studies were getting thrown at you, and the other was
19 that the question was being changed. And so what you
20 ended up saying was that there is a logic here, but
21 there isn't any evidence. I think that's what Alan
22 was saying a while ago. I don't know whether one
23 would want here is changes in patient management,
24 changes in prognosis or changes in outcome, but it's
25 clear there are changes, and at least clinicians do

1 change management because they can find the place to
2 biopsy in that very very limited class of
3 circumstances.

4 DR. SOX: Could I just read from the
5 minutes a selection that is I think pertinent to our
6 discussion. It states here, and this is in respect
7 to this indication, at the request of the HCFA
8 medical officer Mitchell Burken, M.D., the panel
9 discussed the level of effectiveness of PET in this
10 indication, what we're talking about, but was unable
11 to reach consensus upon which level of effectiveness
12 had been established by the evidence.

13 So it does sound like you did not come to
14 a conclusion about whether the evidence was adequate
15 or not. I think this statement from the minutes
16 supports your interpretation of the sense of the
17 meeting at that time.

18 DR. MCNEIL: I think that is absolutely
19 right, Hal. I think what Leslie has said though, and
20 is probably the reason, and what Sean said earlier,
21 why we're having this discussion now is the fact that
22 the committee felt, was really very very confused in

23 having data presented to us without having the
24 ability to digest it clearly and carefully, was
25 something that we really had not expected and did not

00068

1 know how to deal with in an effective fashion, so we
2 had really two options, as I recall.
3 One was, because I don't know that the
4 guidelines for this have been entirely worked out yet
5 for this panel, but dealing with new data, when
6 somebody gets up and says 11 patients and two of them
7 were this and three of them were that, and they were
8 followed for three months and the MR was this, it's
9 very very difficult to do. So we were left with two
10 alternatives. One was to basically table this and
11 say bring back the data that everybody has presented
12 in a structured format and have us review them, take
13 all the published data that Rich says is in his
14 article, and review it and then make a judgment. Or
15 vote on it with some less rigorous approach to our
16 interpretation and to modify, despite what the
17 wording says or what the minutes says, we did not

18 believe the published data were adequate. So to have
19 some kind of sentence there that reflected that it is
20 likely that on the basis of the anecdotal information
21 that was presented to us, that this would work.

22 But on behalf of our committee, I would
23 like very much to know what to do with new data, new
24 questions that come up on the spot, because I don't
25 think we can deal with them properly.

00069

1 DR. SOX: We will discuss that this
2 afternoon. I think we ought to take a vote as a way
3 to resolve this issue, and we'll just give Dr. Zarin
4 a chance to speak, and then I would like a motion and
5 a vote.

6 DR. ZARIN: I would just like to make two
7 points. One is, I think that, I guess it's now
8 called Alan's proposed language, did capture the
9 spirit as I heard it, with one proposed addition,
10 which would be I forget the exact language, but is it
11 likely that the use of PET as an adjunct will help, I
12 think putting in the words some patients, which isn't
13 as precise as many people would want, but I think

14 that the panel as I recall it was talking about a
15 more narrow group of patients than that would imply,
16 but wasn't really able to specify exactly what that
17 group. You know, the spirit was there were some
18 patients for whom there is nothing else that's going
19 to be helpful and this has been reportedly helpful
20 sometimes. So think about something like the word
21 some.

22 The other thing I'd caution you against is
23 saying that you're doing this because you're
24 accepting change in management as the outcome. I
25 think in the negative answers to some of the earlier

00070

1 questions, Barbara pointed out that the reason for
2 the negative answer in part didn't have to do with
3 the fact that they didn't think PET would change
4 management but that they were worried that the change
5 in management would be based on misinformation, so
6 that there was a worry about undertreatment, either
7 under biopsy or under dissection of the nodes because
8 of false positives or false negatives.

9 So that, I think the panel in other
10 instances with PET was worried that the change in
11 management which would occur would not be in the
12 patient's best interests. However in this instance,
13 there was more a sense of knowing where to biopsy,
14 somehow I think must have felt more secure to panel
15 members than knowing not to biopsy or not to dissect
16 lymph nodes.

17 DR. SOX: So at this point we're going to
18 entertain, give somebody an opportunity if they wish
19 to make a motion about changing the wording of this
20 recommendation so it fits a little bit better with
21 the published record and the account given by a
22 number of observers of that discussion. And then we
23 will go on to discuss the rest of the report and
24 actually make a vote for approval or disapproval, and
25 further discussion will occur in the context of

00071

1 discussing the motion.

2 MS. ANDERSON: Before we do that I would
3 like to make a statement for the record. For today's
4 panel meeting, voting members present are Wade Aubry,

5 Robert Brook, Barbara McNeil, Thomas Holohan, Leslie
6 Francis, John Ferguson, Robert Murray, Alan Garber,
7 Michael Maves, Joe Johnson and Daisy Alford-Smith.
8 Dr. Harold Sox will vote in the event of a tie. A
9 quorum is present, no one has been recused because of
10 conflicts of interest, and now we can go ahead with
11 the motion.

12 MS. RICHNER: May I say one thing before
13 you go forward with a motion?

14 DR. SOX: Yes.

15 MS. RICHNER: I would like to know the
16 generalizability of this data to the Medicare
17 population of 65 and older, so what, does anybody
18 have any idea what the scope of this population would
19 be for this decision? I mean, what are the numbers
20 of patients that we're talking about here that would
21 actually benefit from this coverage decision?

22 DR. SOX: Well, breast cancer is a very
23 common problem.

24 MS. RICHNER: I know, but 65 and older.

25 SPEAKER: About 150,000.

1 MS. RICHNER: About 150,000, okay.

2 DR. SOX: Bob?

3 DR. BROOK: You know, I don't know if we
4 have to do anything, because when I read the complete
5 minutes under number 4, which you read a piece of it,
6 the sense of what the committee did is absolutely
7 reflected in there. They said the evidence was
8 adequate but they couldn't judge the effectiveness,
9 they contradicted themselves. And I wonder whether
10 we can improve what they did. That's what they did,
11 and we could just add a note saying that because they
12 couldn't deal with effectiveness from the MCAC
13 committee approach, from our committee approach, this
14 means that the evidence was inadequate based on the
15 guidance that we had given the committees in the
16 stuff we have done before. Because if the evidence
17 was adequate, they ought to have been able to answer
18 the last question.

19 So instead of overruling what they did,
20 why don't we just accept what they did and make a
21 very simple statement that says we're disturbed by

22 the contradiction between the first task and the
23 second task under 4, because if the evidence was
24 really adequate, then they ought to have been able to
25 reach a consensus on the level of effectiveness,

00073

1 which they were unable to do. Without changing
2 wording, without trying to second guess and change
3 all this other kind of stuff, which undermines the
4 whole process of the panels, why don't we just accept
5 -- I would propose we accept this, and we point out
6 to HCFA the fact that because they couldn't do the
7 last part as opposed to the front, that this does not
8 fulfill in some way the guidelines of adequate
9 evidence as decided by the MCAC in its instructions
10 in terms of what adequate evidence means.

11 DR. GARBER: Are you saying to ratify
12 this, Bob?

13 DR. BROOK: They did it. I don't think
14 it's fair. We have to go back to the whole panel
15 process. I mean, every time we open this there is a
16 can of worms, because on all the other motions they

17 said, well, changes in medical treatment may not be
18 adequate to do this, all of a sudden we have somebody
19 get up and say well, this may change where to biopsy
20 or whether you want to have more radiation or
21 chemotherapy. I believe all that and for any one of
22 those other statements, you could have said exactly
23 the same thing. Somehow on this one, they concluded
24 this. They concluded it in a very wishy-washy way.
25 And all we need to do is point out as we

00074

1 ratify this report to whatever this place is called
2 now, that the bottom line is the panel itself
3 contradicted itself in terms of this question and
4 point out to the panel without trying to do anything
5 further, and it's in the minutes.

6 DR. SOX: I want to get this discussion
7 over with and the best way to do that is to have a
8 formal motion, a discussion of the motion, and then
9 the committee can decide whether or not the proposed
10 language is the language they want to vote on, and
11 when we vote ultimately to affirm or disaffirm the
12 panel's work.

13 So if you want to do this, Bob, make a
14 motion.

15 DR. BROOK: I move to adopt the language
16 under section 4 as the sense of the panel, and not
17 just the first part. There's two pieces of it. You
18 read the second part.

19 I move we accept the full discussion
20 under 4. There are two parts to it, that they said
21 yes to the question and no to the level of being able
22 to identify the level of effectiveness.

23 DR. MCNEIL: We actually separated -- I
24 don't know what you're reading from, Bob.

25 DR. BROOK: Your minutes. Now if these

00075

1 minutes aren't accurate, then there is something
2 really -- I mean, this is, whoever Janet Anderson is.

3 MS. ANDERSON: That would be me.

4 DR. BROOK: Hi, Janet. You certified the
5 minutes.

6 DR. MCNEIL: So what we actually voted on,
7 Bob, was we actually split question 4 formally when

8 we voted.

9 DR. BROOK: Which is right there, but
10 there's a second part to it.

11 DR. MCNEIL: No, there's a first -- you
12 came in late. There is a previous slide that shows
13 we actually split question 4 when we voted.

14 (Inaudible colloquy, several people
15 speaking.)

16 DR. MCNEIL: This is how it was presented,
17 if you look up here, this is the original question.
18 The operative phrase is in blue.

19 DR. BROOK: You resplit it.

20 DR. MCNEIL: We split it into two parts.

21 DR. BROOK: Okay. I'm looking at the
22 minutes.

23 DR. MCNEIL: Okay. I'm telling you what
24 we did.

25 DR. BROOK: Okay. Did you take a negative

00076

1 vote on that?

2 DR. MCNEIL: Yes, we did.

3 DR. BROOK: Where?

4 DR. MCNEIL: We took a negative vote on
5 the replacement and an affirmative vote on the
6 adjunct.

7 DR. BROOK: It says the question was then
8 changed, but did you deal with the other piece of the
9 question?

10 DR. MCNEIL: Yeah. Look as it is now,
11 Bob. The question was split into two parts. This is
12 the first part --

13 DR. BROOK: You say there's negative --

14 DR. SOX: Don't interrupt, okay. Let's
15 not interrupt each other trying to get through this
16 discussion.

17 DR. BROOK: Okay. So that becomes
18 question 5, so that was the original question?

19 DR. MCNEIL: Forget about the numbers. We
20 voted on this question, and then we voted on the next
21 question.

22 DR. BROOK: No, you voted on that question
23 and then you were requested by, I'm following the
24 minutes, you were requested by the HCFA medical

25 officer to indicate the level of evidence for this

00077

1 question, and you couldn't reach agreement.

2 DR. MCNEIL: We could not. No, it wasn't

3 that we couldn't reach agreement, we just didn't know

4 what it was. There was no discussion about whether

5 it was big or little.

6 DR. BROOK: This says that you were asked

7 to -- I'm just trying to read -- discuss the level of

8 effectiveness but were unable to reach a consensus on

9 what level of effectiveness had been established.

10 DR. MCNEIL: And if I could state

11 precisely what happened, that is we did not know. I

12 didn't say it was a big one and somebody else said it

13 was a little one, we just didn't know.

14 MS. ANDERSON: As the author of the

15 summary, I can state for you that this is an

16 abbreviated version of the minutes and as a summary

17 of the minutes, this is capturing -- there were four

18 abstentions when we decided to vote on the level of

19 effectiveness so it didn't carry, it wasn't a motion

20 that didn't carry.

21 DR. BROOK: Hal, is there some way because
22 this contradicts the first part of this, that we can
23 just say that, and vote on it? I mean, if they can't
24 define the level of evidence and they said the
25 evidence is adequate, what's the policy here?

00078

1 DR. MCNEIL: I will take full
2 responsibility here for making a mistake. If we want
3 to talk about the exact word-by-word description of
4 what is in those documents, that's one line of
5 thinking. If we want to talk about what the spirit
6 of the discussion was as well as I can synthesize it,
7 I'm happy to do that. I can't mix both of them up in
8 the same paragraph, so which would you like me to do,
9 Dr. Sox, the word by word or the spirit?

10 DR. SOX: Personally, I think we have had
11 a number of attestations to the spirit of that
12 discussion and they are all in the same direction and
13 I think that's the route we should go.

14 DR. MCNEIL: So if that's the route we
15 want to go, I take full responsibility in making an

16 error on this slide as I was rushing to the airport
17 with 15 minutes to go in my wording for this
18 question.

19 DR. SOX: Okay. Now, with that, I would
20 like to entertain a motion to change the wording. If
21 there is no motion, then we will vote on what we
22 have. Would anybody like to make a motion that will
23 clarify the discussion so that what we're going to
24 vote on comes closer to what has been described as
25 the character of the discussion? Alan.

00079

1 DR. GARBER: I would like to move that we
2 modify the language as I previously suggested, is it
3 likely that PET improves health outcomes when used as
4 an adjunct, keeping the rest of the language.
5 I don't know whether this would be part of
6 the same motion or not, but I think there should be
7 instructions to HCFA staff that it was the sense of
8 the Executive Committee that the specific uses for
9 PET in this setting need to be more clearly
10 delineated, and also to reflect the spirit of the
11 panel, and that could be separate.

12 SPEAKER: For some patients, did you want
13 that?

14 DR. GARBER: Yeah, for some patients.

15 DR. FRANCIS: Shouldn't your motion be
16 that we affirm the decision of the panel insofar as
17 what you just said, and otherwise not -- we don't
18 change what the panel did.

19 DR. SOX: See, we're trying to get some
20 language so that we can make a vote either indication
21 by indication or for everything, and so that is a
22 second step. So Alan, please repeat your language
23 and we will see if there's a second, then we will
24 have a discussion of your language and hopefully
25 vote.

00080

1 DR. GARBER: The first line becomes, is it
2 likely that PET. Second line is modified so that it
3 says, improves health outcomes when used as an
4 adjunct to -- yeah, for some patients. When used as
5 an adjunct to standard staging tests in detecting,
6 et cetera, et cetera, and when it says when results,

7 for some patients comes before when, so it becomes
8 for some patients when results from other tests are
9 inconclusive.

10 DR. AUBRY: Can you read it now so what it
11 says, is it likely there is adequate evidence or is
12 there --

13 DR. BARBER: No, no. Adequate evidence is
14 struck. Is it likely that PET improves health
15 outcomes --

16 DR. MCNEIL: Janet, could you change that
17 on line now, can't you just edit it?

18 MS. ANDERSON: Yeah. If someone wants to
19 second, I can read the full motion.

20 DR. MCNEIL: I second.

21 (Inaudible colloquy.)

22 MS. ANDERSON: Okay. The motion is to
23 change the wording of question 4 to, is it likely
24 that PET improves health outcomes when used as an
25 adjunct to standard staging tests in detecting

00081

1 locoregional recurrence or distant metastases
2 recurrence for some patients when results from other

3 tests are inconclusive.

4 DR. SOX: Now that language is open for
5 discussion. Bob?

6 DR. BROOK: Barbara, if I went to question
7 1, 2 and 3 in your minutes and substituted that
8 language for adequate evidence for each one of those
9 questions, which says it may affect some patients and
10 there is a likelihood, would you have voted yes on
11 all of those motions?

12 DR. MCNEIL: We would have voted no on
13 none of the motions except for -- we would not have
14 voted yes on any of the motions.

15 DR. BROOK: Is there some likelihood, is
16 there likelihood that PET can improve health outcomes
17 by leading to earlier diagnosis or breast cancer
18 compared to short interval mammography for some
19 patients? If I change that the way I have changed it
20 now under 4, my guess is it would be almost
21 impossible for the panel not to have voted
22 affirmative on those questions because all that means
23 is somebody has to come up and show that for three

24 patients it made a difference. That's all that has
25 to happen.

00082

1 This trivializes the question that you
2 were asked to do. You were asked to look at adequate
3 evidence to find out whether there's adequate
4 evidence against some method. The way we have
5 rephrased this question is a noninteresting question.

6 DR. MCNEIL: Well, I don't know, Bob, if
7 you had a chance to read the report, did you?

8 DR. BROOK: I did not read the whole
9 report.

10 DR. MCNEIL: If you read the report, you
11 would see that if you just look at the data and the
12 clinical logic, it would be very difficult under any
13 circumstances, and I can ask Sean or some of the
14 others who are here to say that our vote would be
15 changed under any scenario of additional information.
16 The implications of false negatives on undertreatment
17 in a majority of the situations was just enormous,
18 and I don't think there is any circumstance that
19 would have change.

20 DR. SOX: I read the evidence report and I
21 concur with Barbara's judgment. Mike, you're next.

22 DR. MAVES: The problem I have is I
23 understand where we're going and I understand what
24 we're trying to do in the spirit of the discussion.
25 The difficulty I have is I think from a procedural

00083

1 standpoint. I do sort of object to changing a
2 question and then ascribing the votes that took place
3 in a meeting a period of time ago to that changed
4 question. I would, I think we were getting close
5 there, I would accept the report, accept the votes,
6 but then obviously annotate this question to state
7 that after discussion at the Executive Committee we
8 felt that the spirit of the discussion more closely
9 answered the question, and then put Alan's question,
10 because I do think it does capture the spirit.

11 But I have to say, I'm bothered a little
12 bit by changing language in a question and then
13 ascribing the votes of the committee who aren't here
14 to sort of challenges or to revote, and I think Bob

15 has a lot of merit in what he says. If we had
16 changed other language on other questions, that could
17 have changed as well. But I think it's a way of,
18 what you want is the spirit of this to help guide
19 HCFA in the decision making process, but I think we
20 really can either accept or refute the report and the
21 questions that were asked. I'm bothered by changing
22 the question and then ascribing the vote to that
23 changed question.

24 DR. SOX: Well in that case, you should
25 vote against the motion, and then we can consider

00084

1 another motion. Alan?

2 DR. GARBER: Actually, I completely agree
3 with both Bob and Mike, that we don't want to change
4 the vote of the panel members, and I hope nobody took
5 my motion in that spirit. My motion is really about
6 what we the Executive Committee conclude, not about
7 what the panel concluded. What the panel concluded
8 is a matter of record, we are not trying to rewrite
9 the history, but there is an obvious glaring
10 contradiction in the panel's deliberations if we take

11 as a given that in fact they did not believe that the
12 evidence was conclusive.

13 So rather than us ratifying the panel's
14 conclusion or in any way saying that we thought it
15 was correct, we are trying to capture the spirit of
16 what we believe the panel intended by substituting
17 some language and adopting something closely related
18 to their conclusions as the Executive Committee. So
19 my motion is about what the Executive Committee
20 concludes, not about what the panel concluded.

21 DR. SOX: In any case, this is advice to
22 HCFA about the state of evidence, so it's not like
23 we're making a judgment that is absolute, it's simply
24 giving advice to HCFA.

25 DR. MAVES: Hal, if I could ask Alan then,

00085

1 I assume that my comments then are not different than
2 what you intended by your motion?

3 DR. GARBER: No, I think we intend the
4 same thing.

5 DR. MAVES: Would you accept that then as

6 a friendly amendment, I suppose is the next question.

7 DR. GARBER: But if we do not ratify the

8 panel -- this is the Executive Committee's

9 conclusions which we believe reflect more closely the

10 logic of the panel's conclusions, but this means we

11 don't necessarily accept the panel, I mean we accept

12 it as a fact that that's how they voted, but we don't

13 in any sense endorse it.

14 DR. MAVES: And I think that's consistent

15 with where I'm coming from.

16 DR. SOX: Would anybody else like to

17 discuss the amendment as it now is projected on the

18 screen? Daisy?

19 DR. ALFORD-SMITH: It's really not an

20 amendment, it's really a comment by the Executive

21 Committee, because if it's an amendment, you're

22 replacing what the panel said.

23 DR. GARBER: Yeah. This is amended

24 language. In other words, this is the Executive

25 Committee's own recommendation and it uses amended

00086

1 language, so yes, what Daisy says is quite right.

2 Again, we're not trying to say they didn't vote as
3 they did and we're not trying to say they voted on
4 something different than they did. We obviously
5 can't do that and we wouldn't want to do that. This
6 is amended language which we are adopting as the
7 Executive Committee's recommendation.

8 DR. SOX: As our recommendation to HCFA.
9 Wade.

10 DR. AUBRY: As a new member of the
11 Executive Committee, it seems to me that we were
12 asked either to ratify or not ratify this decision,
13 and what is the sense of the discussion in the last
14 few minutes is that several members of the panel here
15 are not comfortable ratifying the exact language, the
16 original language. And therefore, I would say that
17 perhaps we should not ratify this and then have a
18 substitute motion which Alan has made, which is the
19 sense if it's voted affirmatively, would give the
20 sense of the Executive Committee on what transpired
21 at the meeting of the imaging panel.

22 So, I guess my question for HCFA staff or

23 for Dr. Sox is, are we being asked as an executive
24 committee to ratify or not ratify, is that what we
25 are being asked?

00087

1 DR. SOX: I think we're being asked to
2 approve or disapprove the language of the panel and
3 if we disapprove it, we can either do that in a way
4 that qualifies our disapproval, which might be to
5 approve another statement that we think more
6 accurately reflects the discussion and the evidence.
7 So, Alan?

8 DR. GARBER: Well, maybe, can I accept
9 Wade's comment as basically a friendly amendment?
10 What my motion was intended to do was in one step
11 deal with what Wade is talking about doing in two
12 steps, that is, the Executive Committee does not
13 approve, ratify, whatever the operative language is,
14 the original recommendation of this particular item
15 4.B, I guess it is, of the panel, and accepts all the
16 others. But it does approve a closely related
17 amended version of that as the Executive Committee's
18 recommendation, which is the language that I

19 describe.

20 DR. SOX: So, if I understand Wade
21 correctly, I think you were stating that what we
22 really should do is to express our dissatisfaction
23 with the statement as approved by the panel and as
24 reflected accurately in the minutes, and then if we
25 don't approve that language, if we think it is

00088

1 basically an inaccurate statement of the state of the
2 evidence, then approve substitute language.

3 DR. AUBRY: That's correct. It's a first
4 order, second order issue.

5 DR. SOX: In that case, I think we'd have
6 to, if we wanted to move in that direction, then the
7 original proposer, I think -- I'm getting a little
8 bit beyond Roberts Rules of Orders, or my
9 understanding, but I think you could withdraw your
10 motion.

11 DR. GARBER: Well, consider me having
12 withdrawn it and substituted, and actually I think
13 it's a friendly amendment, which then the seconder,

14 who was Leslie, would have to approve.

15 DR. FRANCIS: I agree to that.

16 DR. SOX: So am I correct then that you
17 have withdrawn your motion at this point?

18 DR. GARBER: No, I clarified it. I'm
19 accepting a substitution in the motion as it was just
20 stated.

21 DR. FRANCIS: And I second that
22 substitution, which is that we accept all but the one
23 that has been the subject of discussion, and we also
24 accept the closely related as our recommendation to
25 HCFA.

00089

1 DR. SOX: I'm sorry. I'm now the one
2 who's having trouble here.

3 DR. GARBER: The motion as amended, and as
4 seconded, is that the Executive Committee approves or
5 ratifies all of the recommendations of the panel
6 except this one, which I believe is 4.B, and the
7 Executive Committee makes an alternative
8 recommendation which is the following, and that uses
9 our language.

10 DR. SOX: So that's really a compound

11 motion?

12 DR. GARBER: Yeah.

13 DR. SOX: Are people comfortable with

14 doing it that way, or would you prefer to vote first

15 to approve the original statement and then if we

16 decide to not to approve that, then we could approve

17 a modified statement that would change the language

18 of 4.B and we could vote on that.

19 DR. MURRAY: I'm comfortable with Alan's

20 motion.

21 DR. MAVES: I am too.

22 DR. SOX: It sounds like we have a

23 majority of the voting members who are comfortable

24 with handling it in the manner Alan has proposed

25 instead of as a single motion. Yes please?

00090

1 DR. BROOK: I'm sorry to do this but I

2 think what we've been trying to do is set up a

3 process to increase faith in the panels. You have an

4 easy way out here. All you have to do is say the

5 Executive Committee has read the discussion in the
6 minutes under 4. Because the panel themselves were
7 unable to reach a consensus on the level of evidence,
8 they said that, they couldn't reach a consensus, that
9 procedurally we cannot accept motion 4 that the panel
10 found, that there was adequate evidence. They
11 themselves contradicted themselves, and we ought to
12 just vote that we can't do that.

13 We ought not vote on the new motion. We
14 could encourage HCFA. We haven't seen the evidence.
15 We're now subverting the whole damned process that
16 somebody spent two days sitting there, voting a new
17 motion without looking at any evidence and without
18 having been tasked to do that. Our job would then be
19 to say if we think there are other unresolved issues
20 about this procedure, it ought to go back to the
21 diagnostic committee with a note from us to say would
22 you please consider these kinds of other questions,
23 because we think they're important.

24 We can't be a second judge here, because
25 it's going to stop anyway in January, and why don't

1 we set the precedent here to actually look at the
2 process which is what we have been trying over the
3 last year and a half to do. And you've got an out
4 here. It's absolutely clear that you can just say we
5 can't accept this motion because the panel themselves
6 didn't.

7 DR. MCNEIL: Hal, I think this goes back
8 to what I talked about earlier and I think we should
9 be voting, I think I'm being an impartial observer of
10 the process, and Sean is here and several others were
11 here as well. I think that what is there, Bob, is
12 what we in spirit were voting on.

13 DR. BROOK: This is a legal process. We
14 spent hours and days going through public comment and
15 all of this about the process. We word smithed these
16 documents that we gave to the panels umpteen times.
17 We're trying to improve the panel process. If we sit
18 here and in two hours come up with a new question and
19 a new vote because we think we did this better than
20 you did it, we're subverting this whole process.
21 Even though we may be correct, I will give

22 the notion that Alan and Hal are correct on this,
23 this is what it would come out, that's not the issue.
24 The issue here is, we've got to build up a strong
25 process where when people come to testify in front of

00092

1 these panels, they have confidence that the panels
2 are going to come up with decisions, that we are
3 going to look at their decision, and as long as the
4 process is fulfilled in the way that we've talked
5 about it, that we would then go ahead and improve the
6 process and not second guess everything. Because
7 then we ought to have another open discussion, we
8 ought to hear those cases, we ought to spend as much
9 time as you did on it. You guys spent much much more
10 time on this and read many more articles than we
11 have. And I'm just urging us to be faithful to the
12 process.

13 DR. TUNIS: Let me just as a point of view
14 of process and what would be helpful to us, because I
15 think, you know, all the ideas are on the table and I
16 don't think you can give any clearer sense to HCFA,
17 CMS than you have already. So I think, I don't think

18 it's worth actually going round and round on this. I
19 think to kind of go along with Bob's suggestion, I
20 think what would be helpful to us you go ahead, and
21 if I'm getting you right, Bob, essentially you don't
22 ratify this recommendation because it's internally
23 consistent, so it's not ratified. You ratify all the
24 others if that's what you want to do, and we've got
25 the spirit of your new question, so we understand

00093

1 what you think the panel really meant, and you don't
2 need to have a motion or need to vote on a motion
3 related to that. We've got the point.

4 So in terms of following the process, I
5 kind of agree with Bob. If everything I have heard,
6 if I understand everything I've heard, the motion
7 should be not to ratify number 4.B, ratify everything
8 else and leave it at that.

9 DR. SOX: A comment on Bob's suggestion
10 and Sean's comment?

11 DR. GARBER: Well, I think Bob's
12 suggestion has a lot of merit and strictly speaking,

13 that might be what we should do procedurally. And
14 the reason that my proposal is different is simply
15 that I don't believe this is a case where we are
16 really second guessing the panel. I think that there
17 is an internal contradiction in what the panel did,
18 that it's revealed in the minutes and in the
19 transcript. We are not trying to relook at the data
20 or anything of the sort. It's just that the panel
21 had difficulty reaching a conclusion and they ended
22 up voting on a motion that seems, they ended up
23 voting in a direction that seems contradicted by the
24 discussion.

25 And we could either throw it back to them,

00094

1 but this, my motion and the amended language was
2 intended to preserve what we thought was the spirit
3 of their discussion, and I don't think this requires
4 going back to the committee if what Barbara says is
5 true, and I would tend to believe her, that the panel
6 would have been quite comfortable with this
7 substituted language. I think that this process has
8 to move things forward in a timely fashion, we have

9 heard that over and over again, and to simply say
10 throw this out because they didn't follow procedures,
11 I think would not be that helpful at this point, even
12 though I have the same reservations that Bob has
13 about the failure to follow the guidelines that the
14 Executive Committee recommended.

15 So I don't really see this as a slap in
16 their face as much as a way to try to refine the
17 recommendation that resulted from their discussions.
18 And of course I think we should do what's helpful to
19 HCFA, or to CMS, excuse me, but I still stand by this
20 amended form, which I think moves the process forward
21 and more clearly reflects the intent of the panel.

22 DR. SOX: I would just like to point out
23 that this committee in the past hasn't been shy at
24 all about disapproving recommendations of panels and
25 sending them back for reconsideration, so we have

00095

1 done that, and we'll do it again if we're given a
2 chance.

3 Now, we have a motion before the group and

4 rather than talk and talk and talk, I would like any
5 discussion to be directed at Alan's motion, which I
6 think we need to repeat just to get us back on
7 target, and we need to discuss that, we don't need to
8 start new things until we express our opinion as a
9 group about whether that captures our views on the
10 subject that we have just been discussing. So, could
11 you reread the motion?

12 MS. ANDERSON: Here's what I have. The
13 motion is to approve all recommendations of the
14 Diagnostic Imaging Panel except number 4, and amend
15 the question number 4 to state, is it likely that PET
16 improves health outcomes when used as an adjunct to
17 standard staging tests in detecting locoregional
18 recurrence or distant metastases recurrence for some
19 patients when results from other tests are
20 inconclusive.

21 DR. SOX: That's the motion. We're going
22 to talk about that motion. We're not going to
23 introduce any new ideas until we express our opinion
24 about this motion. So now, discussion on the motion.
25 Mike.

1 DR. MAVES: I have some concerns about
2 this, only because Bob made one other comment. He
3 said this is a legal process and as we're finding
4 out, words do matter. I guess maybe a question to
5 Sean would be, does a change in language from is
6 there advocate evidence to is it likely, would that
7 perhaps dictate a change in how HCFA or CMS would
8 consider covering this particular clinical situation.
9 It would seem to me that's a weakening of position
10 and so again, the words could matter and you might
11 want to have the committee look at this again.

12 DR. TUNIS: You know, my honest answer to
13 that is no, it wouldn't change how HCFA, you could
14 change the words and it wouldn't change where we
15 would be obligated to or inclined to go. Again, I
16 would just say on that point, what CMS pays great
17 attention to is not just these recommendations on the
18 vote, but the logic and the discussion that go around
19 them, and I think I would say that we have a pretty
20 clear sense of where this discussion is going and

21 changing the words or however these motions come out
22 isn't going to affect that.

23 DR. SOX: Thank you, Sean. Yes, Bob?

24 DR. MURRAY: I believe the question has
25 been adequately discussed, and request that the

00097

1 chairman call the question.

2 DR. SOX: Call the question.

3 MS. ANDERSON: All voting for the motion?

4 All voting against? No abstentions.

5 DR. HOLOHAN: Yes, I abstain.

6 MS. ANDERSON: Oh, one abstention. The
7 vote carries.

8 DR. SOX: So, we have just approved the
9 recommendations of the panel with the exception of
10 4.B, where we approved the substituted language
11 indicated here. I think that we're done.

12 DR. MCNEIL: It would be nice. I'm sure
13 that the committee wasn't anxious to come back to
14 this question and discuss it once more.

15 DR. SOX: Bob, did you have a question?

16 DR. MURRAY: I have a question, if I

17 could. This is a question to Barbara and it does not
18 change the vote, doesn't change anything, it is just
19 something to put in the record for clarification.

20 And if you cannot answer the question in 25 words or
21 less then I withdraw the question.

22 The last clause is, when results from
23 other tests are inconclusive and I focus on the word
24 inconclusive. Did the panel think of inconclusive as
25 meaning an inadequate study that is for technical

00098

1 reasons, the MRI could not be done, the scan whatever
2 was just technically inadequate, or was the panel
3 thinking of inconclusive meaning the study, the bone
4 scan was technically perfect, it gave a clear result,
5 but it does not give the oncologist 100 percent
6 certainty on the diagnosis, and therefore I want to
7 add one more test, one more bit of evidence. So was
8 it, does inconclusive mean technically inadequate or
9 interpretationally insufficient?

10 DR. MCNEIL: It was not the former, it was
11 the latter, and the example that Rich Wahl gave about

12 an MRI in which it was impossible to differentiate
13 radiation fibrosis from new disease or recurring
14 disease is the best example I can think of. The
15 study was perfect, the findings because of previous
16 therapy just didn't allow the interpreter to make an
17 exact diagnosis.

18 DR. MURRAY: Thank you.

19 DR. TUNIS: Barbara, I have one more
20 question for you with the same 25 words or less
21 caveat.

22 DR. MCNEIL: Boy, this is tough.

23 DR. TUNIS: It seems to me that on this
24 series of questions that the panel addressed, in a
25 couple of cases, for example on the use in staging

00099

1 the axillary lymph nodes, it seems to me that my
2 sense of the panel's conclusion was that the evidence
3 was adequate to determine that PET was not useful,
4 whereas in number 5 in terms of use in monitoring
5 response to therapy, the conclusion was there is
6 inadequate evidence to make a determination about
7 whether it is or isn't useful.

8 It's a critical point for us because as
9 you know, the structure of the coverage decision at
10 least as of last December, you know, a voice that CMS
11 would be inclined to cover within a cancer even if
12 there is inconclusive evidence for some indications,
13 as long as at least one indication is considered
14 adequately supported, except for applications or uses
15 within that cancer for which the evidence is adequate
16 to conclude that it's not useful. And so for example
17 my sense is, and again I'm going back to using the
18 axilla, that PET was shown not to be adequately
19 sensitive to use for that clinical purpose, which
20 might lead us to a noncoverage for that specific use,
21 but for something like monitoring response to therapy
22 where the evidence was inadequate, we might come to a
23 different coverage determination, so it's important
24 to know what the committee meant by those negative
25 votes.

00100

1 DR. MCNEIL: Okay, I think you actually
2 had it right. I think we felt for the original three

3 questions, whatever it was, the data were not there,
4 that where I indicated that the -- in many cases the
5 data was there but because of the issue of
6 undertreatment for example, that there were no data
7 to suggest, the data did not suggest the use of PET
8 in those circumstances would improve health outcomes.
9 So you're right, say for the axillary nodes in
10 particular, there were data, and because of the
11 sensitivity and the specificity of the tests in those
12 circumstances, more harm than good would be done by
13 using the test and we thought that the data, there
14 were a lot of studies for those indications.
15 When we got to the question of tumor
16 response, which is what you're asking, which was the
17 last one, I think people agreed that it was promising
18 and important but the data were not there, that is to
19 say, the data showed in one study, I don't have
20 the -- or two studies actually, from two studies the
21 data showed that there would be undertreatment in the
22 range of 10 to 20 percent, 10 to 17 percent, so those
23 data showed that there would be undertreatment of
24 patients by using this test for that purpose. But

25 those were only two studies.

00101

1 And there was another earlier study that
2 was well done, I believe Rich Wahl had done it from
3 Michigan, I think Michigan, in which the
4 chemotherapeutic agents that were being evaluated
5 aren't the ones that are currently --

6 DR. WAHL: That's not completely accurate.

7 DR. MCNEIL: Right, but what was studied
8 is not exactly what is being done today.

9 DR. WAHL: But I thought the committee
10 thought it was very promising because there were
11 three or four studies also (inaudible).

12 DR. MCNEIL: And there was the risk of
13 undertreatment from those same patients. So I don't
14 know if that answers your question. There were false
15 positives and false positives from the data that we
16 have, and I guess the answer to your question would
17 depend on how much you weight the results associated
18 with errors in each of those directions.

19 DR. SOX: Well, we're going to take a

20 15-minute break at this point before coming back to
21 discuss L-carnitine.

22 (Recess from 10:56 to 11:17 a.m.)

23 DR. SOX: We are now going to commence
24 discussion of the findings of the Drugs, Biological
25 and Therapeutics Panel on the use of L-carnitine

00102

1 injections in patients with end-stage renal disease,
2 and Dr. Holohan, the chair of that panel, is going to
3 summarize their findings.

4 DR. HOLOHAN: Good morning. Dr. Sox
5 provided a critique of the absence of a written
6 summary of the panel's findings and conclusions, and
7 to that I plead not guilty. I had decided, Barbara
8 and I will both do an apologia pro vita sua in this
9 case.

10 DR. MCNEIL: I wasn't that literate
11 though.

12 DR. HOLOHAN: We decided to wait for the
13 transcripts of the panel, and that September would be
14 plenty of time to get this done and distributed to
15 the panel for their review. As some of you know, the

16 statutory assignment of the Veterans Administration
17 is to act as a back-up for DoD in national
18 emergencies, and that has eliminated all of my
19 discretionary time, so I will present this verbally.
20 You have the summary of the meeting
21 minutes and you will note, those of you who are
22 perceptive, that there was an additional member
23 replacing the person who couldn't attend. That
24 additional member was Dr. Emil Paganini, who is a
25 nephrologist, who is a member of the MCAC, and he sat

00103

1 in on our panel. He is a nephrologist at the
2 Cleveland Clinic.
3 Probably the most significant point to
4 make is that the questions as initially posed to this
5 panel were, is there adequate evidence that
6 administration of intravenous L-carnitine is
7 effective as a therapy to improve clinical conditions
8 or outcomes in patients with end-stage renal diseases
9 on hemodialysis?
10 And question number 2, is there adequate

11 evidence that the administration of intravenous
12 L-carnitine is effective on clinical conditions or
13 outcomes in patients with end-stage renal disease on
14 hemodialysis? The specific clinical conditions were
15 fairly broad and included anemia, disorders of lipid
16 metabolism, cardiac dysfunction, muscle strength and
17 asthenia.

18 And question 2.B was the same question for
19 the oral form. I emphasize that because in fact the
20 panel determined based on the testimony, the evidence
21 and the reviews of the published material provided
22 that those questions could not be answered on the
23 basis of adequate evidence, so they chose to answer
24 different questions.

25 I will stand for correction from my

00104

1 esteemed panel member at any time he so chooses to
2 correct a statement I make.

3 Initially a presentation was made for the
4 entire panel from a Dr. Chertow, who was a
5 nephrologist from the University of California San
6 Francisco and who is very active in developing

7 guidelines published under the pneumonic K-DOQI,
8 kidney dialysis outcomes quality initiative, a
9 multidisciplinary cross-specialty group of
10 specialists in end-stage renal disease. And they
11 actually addressed a year ago the use of L-carnitine
12 for maintenance dialysis patients.

13 And what Dr. Chertow said, and I'm quoting
14 from their publication on the K-DOQI nutrition and
15 chronic renal failure document, there are
16 insufficient data to support the routine use of
17 L-carnitine for maintenance dialysis patients. So
18 this group felt there were insufficient data to
19 support its routine use for any of the proposed
20 clinical disorders that I have mentioned above.

21 A review of literature was done by HCFA,
22 by myself, and by Miss Dooley, the industry
23 representative on the panel. The alleged benefits in
24 the published studies, and you should have been given
25 a matrix of the summary of published studies for each

00105

1 of the alleged clinical indications, allege that

2 benefits from L-carnitine were observed in decreased
3 asthenia, fatigue, cramps, decreased muscle strength.
4 That L-carnitine improved the lipid profile, it
5 improved anemia, improved cardiac symptoms, and
6 reduced arrhythmias.

7 In sum, a review of all of the material
8 provided by HCFA and additional material provided by
9 the manufacturer was not compelling to the panel.

10 There were a number of problems with these studies.

11 In general, the sample sizes were very small. The
12 L-carnitine used was begin orally, intravenously and
13 in dialysate in a mixed fashion across the studies.

14 For every measure, every group of signs and symptoms
15 that I have described, the results in any one cluster
16 were positive, negative or no change. There were no
17 group of signs and symptoms where the predominant
18 evidence was of a benefit.

19 Even within the individual studies, not
20 all measures were used on all patients. Many of the
21 studies showed positive results based on post hoc
22 analyses, secondary statistical analyses of the data.
23 Very few of the studies addressed serum levels of

24 L-carnitine in patients who were so treated. And
25 this is important. And I will get to the FDA letter

00106

1 that was distributed to you when I discuss the panel
2 deliberations.

3 The panel concluded that the questions
4 that I have read as posed by HCFA could not be
5 answered, and one of the major reasons was elaborated
6 in the letter from the Food and Drug Administration,
7 and I will cite just a few sentences from their
8 approval of this drug for intravenous use in ESRD
9 patients for the prevention and treatment of
10 carnitine deficiency.

11 The FDA said, clinical manifestations of
12 carnitine deficiency generally do not ensue until
13 levels fall to less than 20 percent of normal. They
14 go on to say that the data support the efficacy of
15 intravenous levo-carnitine in increasing, maintaining
16 or increasing carnitine serum levels. However, they
17 do not support improvements in clinical status or
18 exercise tolerance, not do they provide convincing

19 evidence for decreases in BUN, creatinine,
20 phosphorus, for increases in hematocrit, decreases in
21 hypotensive episodes.

22 So basically the panel was on the horns of
23 a dilemma. They could not answer the first question
24 posed by HCFA, i.e., is there adequate evidence that
25 the administration of L-carnitine is effective in

00107

1 clinical conditions or outcomes in patients with ESRD
2 on hemodialysis because the FDA document clearly
3 indicated that on the basis of the information
4 provided by the manufacturer, the FDA was only
5 willing to say that it was effective in maintaining
6 or increasing carnitine levels. Few if any of the
7 studies directly related serum carnitine levels to
8 carnitine administration and improvement in the
9 alleged outcomes.

10 So the panel was not confident that in
11 fact carnitine deficiency, although they believe it
12 existed, was defined in the published literature.
13 They went back and recalled some of the people who
14 gave testimony, specifically asking the question

15 about a definition of carnitine deficiency, and did
16 not receive a definition satisfactory to them.

17 At the same time they believed that the
18 published data did include studies that showed that a
19 subpopulation of patients did in fact appear to
20 benefit, that is, they had either improvement in
21 clinical status or decrease in signs and symptoms
22 associated, putatively associated with carnitine
23 deficiency.

24 Because of that, their recommendations as
25 written in the copy of the minutes you have received

00108

1 were three. First, they recommended that CMS or HCFA
2 establish a mechanism to define carnitine deficiency
3 in the ESRD patient population, because they believed
4 that the published studies were adequate to show that
5 such a condition exists.

6 Secondly, they concluded there was
7 adequate evidence that indicated some patients
8 benefit from levo-carnitine but that these couldn't
9 be identified either prospectively or retrospectively

10 from the published data. They recommended that
11 Medicare establish rational guidelines that could
12 identify this patient population. That again was a
13 unanimous vote.

14 The panel did believe that the published
15 information was adequate to conclude that there was
16 no evidence that the route of administration,
17 intravenous, oral or put in dialysis fluid, was
18 likely to be or could be an important factor in the
19 use of L-carnitine therapy.

20 The issue of clinical safety did not
21 appear in any of the published literature but the
22 manufacturer testified that they believed that the
23 oral form uniquely could be metabolized to
24 potentially toxic metabolites and they were asking
25 the FDA to insert such a warning in the label of the

00109

1 oral form of carnitine. At that time and to my
2 current knowledge, the FDA has not done so.

3 So again, in summary, the panel concluded
4 that it was appropriate for CMS to establish a
5 mechanism to develop a definition of carnitine

6 deficiency in the ESRD patient population. That
7 there was evidence that some patients benefitted from
8 the administration of levo-carnitine in any dosage
9 form and that Medicare coverage, and I don't know if
10 this in fact is something we're legally able to do,
11 but the panel concluded that Medicare coverage should
12 be provided upon establishment of rational guidelines
13 that identify the patient population. And finally
14 concluded that the route of administration does not
15 appear to be a relevant factor in any benefits that
16 may accrue from exogenous levo-carnitine.

17 DR. SOX: Thank you very much,
18 Dr. Holohan. We next we will go on to comments from
19 members of the audience. We don't have any scheduled
20 public comment, but if anybody here would like to go
21 to the microphone and make a comment, they should do
22 so. Be sure to identify yourself, your affiliation
23 and anything we need to know that might help us to
24 interpret your work, like potential conflicts.

25 MR. MEHRLING: I'm Ken Merlin, the chief

1 operating officer for Sigma Tau, who is the
2 manufacturer of Carnitor, and I just wanted to state
3 that the package insert has been changed to include
4 the precaution of extended periods of time using high
5 doses of oral carnitine is not recommended in
6 patients with severely limited renal function. That
7 is in the current package insert, which has happened
8 after our meeting.

9 DR. SOX: Thank you very much.

10 DR. HOLOHAN: Did you happen to bring
11 copies.

12 MR. MEHRLING: I can have them provided.

13 DR. SOX: Does anybody else wish to go to
14 the microphone to comment? In that case, it's time
15 for members of the committee to discuss these three
16 motions and I think just to try to be systematic
17 about this we will go through them one by one. The
18 first one is, CMS to establish a mechanism to define
19 carnitine deficiency in the ESRD patient population,
20 because there is adequate evidence that such a
21 condition exists.

22 Would anybody like to raise questions

23 about this, or clarification, because we're going to
24 be asked ultimately to approve this statement?

25 Maybe I could ask a question, Tom. When

00111

1 you said establish a mechanism, what were you
2 thinking about, a blood test or something like that?

3 DR. HOLOHAN: No. In fact the belief, and

4 I stand able for correction if I misinterpret the

5 panel's concept, I think the panel believed that in

6 fact carnitine deficiency can and probably does exist

7 in some patients who are end-stage renal disease

8 patients. At the present time, there is no mechanism

9 based on the testimony or the available published

10 evidence that could identify and define carnitine

11 deficiency.

12 The FDA defined it to a limited extent in

13 their approval letter when they said the clinical

14 symptoms are unlikely to occur below a serum level of

15 20 percent, but serum levels were not represented in

16 the published evidence. So I think the panel was

17 encouraging the CAg to bring together a group of

18 experts in end-stage renal disease and nephrology to
19 help define for purposes of coverage determination
20 exactly what is meant by carnitine deficiency.

21 I don't want to keep going on, but many of
22 the published papers presumed that signs and symptoms
23 that patients have were ipso facto due to carnitine
24 deficiency and the panel was very uncomfortable with
25 accepting that.

00112

1 DR. SOX: So you're basically calling for
2 somebody to come up with a case definition that can
3 be used not just for coverage, but for studying the
4 problem and identifying who has it.

5 DR. HOLOHAN: Yes.

6 DR. SOX: Bob?

7 DR. BROOK: I am trying to put your
8 recommendations together with the letter from David
9 Orloff, from the FDA. Let me see if I understand
10 this issue as clearly as I can. Some people are
11 going to get this condition, everyone agrees, and
12 there is obviously data that somebody is going to get
13 this condition, if nothing else, through losses under

14 dialysis. I mean, that's the first sentence of his
15 statement.

16 DR. HOLOHAN: No, he says can.

17 DR. BROOK: Yes, some, that's what I'm
18 saying, some people will get this.

19 DR. HOLOHAN: No, he doesn't say some
20 will, he says patients can. I don't see that as the
21 same thing.

22 DR. BROOK: Okay. So some people can get
23 this.

24 DR. HOLOHAN: Yes.

25 DR. BROOK: Okay. They've also defined

00113

1 the level, they consider that you don't get clinical
2 manifestations of this deficiency unless the level
3 falls to less than 20 percent of normal.

4 DR. HOLOHAN: That's what he says.

5 DR. BROOK: Now your first statement said,
6 CMS should establish a mechanism to define it. Does
7 that mean you didn't find evidence to accept that
8 definition?

9 DR. HOLOHAN: No. What I tried to convey,
10 perhaps inefficiently, was that few of the studies,
11 and if you want the precise numbers I can get them
12 for you, but few, a dramatic minority of the studies
13 actually measured serum levels. Most of the
14 published data presumed that signs and symptoms that
15 patients had were due to carnitine deficiency and
16 they were either given carnitine in a case control
17 study, a cohort, a randomized trial, but serum levels
18 were not available to us.

19 DR. BROOK: Let me see if I can follow.
20 Why did the panel not just say, instead of CMS should
21 establish a mechanism, why didn't they just adopt the
22 mechanism suggested in this letter?

23 DR. HOLOHAN: They were not comfortable
24 doing that. Bob, do you want to make any additional
25 comments as to why?

00114

1 DR. BROOK: But it was discussed and
2 people weren't comfortable, so there needs to be --

3 DR. HOLOHAN: It was discussed and the
4 panelists brought up some of the people who testified

5 back to the microphone to ask them specific questions
6 about whether they would accept a specific serum
7 level, and there was general unwillingness among the
8 people testifying, nephrologists and spokespersons
9 for disease groups, to accept a serum level.

10 DR. BROOK: So what guidance would you
11 give CMS right now to carry out, number one, how
12 would they do it, or that's up to them?

13 DR. HOLOHAN: I think we -- well, you will
14 have to ask Sean what his view was. I think the
15 believe of the panel was that HCFA, CMS should bring
16 together a group of people with expertise in this,
17 some of whom testified, and develop a consensus on a
18 definition of carnitine deficiency. That could be
19 simply serum levels or it could be combinations of
20 serum levels and signs and symptoms, but probably not
21 just the presence of signs and symptoms.

22 DR. BROOK: Okay. Now can I just ask one
23 other question. Regarding number 2, there is another
24 really very strong statement in this letter from the
25 FDA, it would be therefore, unethical to subject

1 patients to the risk and discomforts of frank
2 carnitine deficiency in a study designed to assess
3 the clinical benefit of supplementation because of
4 the safety of supplementation.

5 DR. HOLOHAN: Okay.

6 DR. BROOK: So when you said, and when you
7 reviewed these studies and showed that in all
8 patients in ESRD, the routine use shows, you made a
9 comment that there was no evidence to support that
10 routine use would benefit people with any of these
11 outcomes.

12 DR. HOLOHAN: That's what the Kidney
13 Dialysis Outcomes Quality Initiative said.

14 DR. BROOK: Okay. Now what I don't --

15 DR. HOLOHAN: The panel concluded that on
16 the basis of the published data, one could not
17 conclude with any at degree of certainty that
18 supplementation with levo-carnitine in any form, PO,
19 IV or in the dialysate, significantly improved the
20 clusters and groups of signs and symptoms that had
21 been alleged by the authors of those papers to be due

22 to carnitine deficiency, i.e., anemia, weakness,
23 asthenia, cramps.

24 DR. BROOK: Could not?

25 DR. HOLOHAN: Correct.

00116

1 DR. BROOK: Okay. So when you say there
2 is adequate evidence that some people benefit, the
3 language in here is it would be unethical to take --
4 there's go to be in this population a group of people
5 can develop, so you say number one, that there are
6 people, and so if you have these people in this
7 population, presumably they would benefit from
8 supplementation, but what is the evidence? Is the
9 evidence based on animal models? What is the
10 evidence based upon, because here it says it's
11 unethical to randomize people. What --

12 DR. HOLOHAN: I agree with that, but I
13 don't see anything about randomizing people.

14 DR. BROOK: No. You say there's adequate
15 evidence. And you just said that the studies didn't
16 show that, and so what I'm indicating is where does

17 that evidence come from?

18 DR. SOX: Well, let's -- I'm trying --

19 Bob, if you could defer that question until we get
20 through the first one.

21 DR. BROOK: Okay. I was just trying to
22 put them together in some sense.

23 DR. HOLOHAN: I think I can answer that
24 quickly. When I was summarizing the clinical trials,
25 I pointed out that the panel concluded that in some

00117

1 of the trials there appeared to be a subgroup of
2 patients, mostly identifiable retrospectively, that
3 did appear to have significant improvements in signs
4 and symptoms, be it anemia, muscle weakness,
5 asthenia, cramps. The panel believed, most of the
6 panel believed that in fact there was a strong
7 suggestion that there may be a minority, a subgroup
8 of patients who might benefit that at the present
9 time cannot be easily prospectively identified.

10 DR. SOX: Dr. Whyte is going to try to
11 provide some information to help us.

12 DR. WHYTE: I'm John Whyte. I'm one of

13 the physicians in the coverage group. What I wanted
14 to clarify on point one was Dr. Holohan had mentioned
15 how there was modification of the questions that we
16 originally presented to the panel, and we were not
17 planning to ask as one of the questions, how do we
18 define carnitine deficiency, so we did not provide
19 information as to what we would consider carnitine
20 deficiency.

21 So that's why you may see the panel
22 talking about that they do not feel that there was
23 adequate evidence to define carnitine deficiency and
24 that would have been because we didn't provide that
25 information.

00118

1 We have had multiple discussions with the
2 FDA as well as others, and I am not prepared today to
3 talk where we are in decision making, but certainly
4 we feel at a staff level that we have enough
5 information to define carnitine deficiency. So I
6 just wanted to provide as background the reason why
7 you may have this point is because we didn't provide

8 the information, because we weren't planning to
9 answer that question.

10 DR. HOLOHAN: Right. I think, just to
11 elaborate, the panelists believed that most of the
12 published data presumed that because patients were on
13 chronic dialysis and it was not unreasonable to
14 believe that you can remove carnitine in
15 hemodialysis, there was a presumption on the part of
16 the authors of the papers that in fact the patients
17 subject to their study had carnitine deficiency. And
18 in looking at the totality of the evidence, the panel
19 was unwilling to make that leap of fate, particularly
20 in view of the FDA approval letter that talked about
21 a serum level which rarely appeared in any of the
22 published studies.

23 DR. SOX: Alan?

24 DR. GARBER: I think one of the reasons
25 this is a little bit hard to sort through is first of

00119

1 all, I think the recommendation 1 should be subsumed
2 under recommendation 2, that is, identifying
3 subgroups who would benefit. The issue is not really

4 whether the carnitine deficiency per se causes the
5 symptoms; the issue is does carnitine supplementation
6 help these symptoms. And from what Tom has said, it
7 may not be that clear that you can use the carnitine
8 level to determine who is most likely to benefit. It
9 may be there should be some other selection criteria,
10 and to answer number 1, that CMS should develop
11 criteria based on carnitine is to presuppose that the
12 carnitine level defines the subgroups who benefit.
13 And given that some of these trials didn't
14 even measure the carnitine level, not to mention that
15 they didn't clearly and consistently demonstrate
16 benefit, it seems to be jumping too quickly to a
17 conclusion that carnitine is the issue.
18 And I have to admit, I am also confused by
19 the FDA letter, where it says the clinical
20 manifestations do not ensue until levels fall to less
21 than 20 percent of normal, but then the clinical team
22 leader's note at the bottom basically says that there
23 is no evidence that carnitine supplementation
24 improves symptoms, what it does is raise carnitine

25 levels. So how they, the FDA has given a rather

00120

1 tepid approval to this, saying that it's like giving
2 sodium may raise serum sodium levels if there is some
3 problem with your auto regulation.

4 But it seems to me the first question has
5 to be number 2, and I don't see how CMS can be
6 expected to develop carnitine criteria unless they
7 know that the carnitine level defines subgroups who
8 would benefit.

9 DR. WHYTE: I don't disagree with that
10 statement. The only point that I wanted to make was
11 to make sure people knew, part of the reason why they
12 didn't have adequate evidence addressing point 1 is
13 because we didn't provide that information, and
14 that's the point that I wanted to make clear.

15 DR. GARBER: But does it exist?

16 DR. WHYTE: There is a body of literature
17 that discusses exactly those points that you talked
18 about. We didn't provide all of that information to
19 the panel, because that originally was not one of the
20 issues that the panel was going to address.

21 DR. SOX: Any other discussion on the
22 first item? I hope nobody is planning on rewriting
23 these recommendations too severely, unless it really
24 looks important.

25 Let's go on then to number 2, there is

00121

1 adequate evidence that indicates that some patients
2 benefit from L-carnitine. Upon establishment of
3 rational guidelines that identify this patient
4 population, Medicare coverage should be provided.
5 Speaking for myself in reviewing the HCFA
6 review of all that evidence, I was hard pressed to, I
7 was surprised to see this statement, because it
8 looked to me as if studies weren't consistent in
9 their results, the effect size were relatively small,
10 as you already pointed out, Tom, studies often
11 involved relatively few patients, and so I thought,
12 I'm surprised that the panel actually made this
13 statement. So maybe you would like to comment on
14 that and there may be other things that we will also
15 want to talk about with this statement, but let's

16 start with that one.

17 DR. HOLOHAN: Well, I'm not going to
18 philosophically disagree with you, but let me put
19 myself in the loafers of one of the panel members or
20 any of the panel members. If you look at the chart
21 on the effect of carnitine on EPO requirements, I
22 only found three studies that were fairly recently
23 published, and one showed no change, but two showed
24 EPO requirements decreasing, in one case in 8 of 19
25 experimental group of patients, and in the second

00122

1 study EPO requirements decreasing in 7 of 13. I
2 believe that the panel members concluded from these,
3 and studies in your charts on exercise capacity and
4 strength, asthenia symptoms, et cetera, that there
5 could be a pony under all of this other material, and
6 that perhaps if patients were selected well
7 prospectively, you could have identified which 8 of
8 the 19 did in fact benefit from levo-carnitine.

9 I think there were enough studies where
10 small proportions of patients showed in some cases
11 not unimpressive improvements in either hematocrit,

12 exercise capacity, reduction in fatigue, et cetera,
13 and they were unwilling to cast aside the possibility
14 that there was a potentially identifiable group of
15 patients who might benefit.

16 Have I misstated the belief of the panel?

17 DR. MURRAY: I wasn't there.

18 DR. HOLOHAN: Oh, I'm sorry.

19 DR. FRANCIS: I wasn't there, but can I
20 just understand this. There was adequate evidence
21 that someone benefits but inadequate evidence as to
22 which patients those are, or inadequate evidence
23 about our ability to identify prospectively?

24 DR. HOLOHAN: I have read through the
25 transcript several times and I don't think anybody on

00123

1 the panel ever quite phrased it that way. I think
2 they believed that the published data included
3 studies that showed that small proportions of
4 patients showed a benefit, that the data were
5 insufficient to conclude that it should routinely be
6 used on all ESRD patients, but maybe, just maybe it's

7 possible to identify prospectively those people who
8 would benefit. Maybe this benefit in 7 out of 13
9 wasn't just chance.

10 DR. SOX: Wade, I think you were next.

11 DR. AUBRY: I'm a little bit confused
12 about the dosages, and maybe this is sort of getting
13 ahead of the question, but if the panel is making a
14 recommendation on coverage, that would include not
15 only patient selection criteria but also some
16 recommendations for dosage. It seems like these
17 studies have quite a variability of dosage.

18 DR. HOLOHAN: You are a master of
19 understatement.

20 DR. AUBRY: And so I'm totally unclear as
21 to what would be an appropriate, you know,
22 therapeutic dose. Even these EPO studies show
23 variability.

24 DR. SOX: Alan, I think you were next.

25 DR. GARBER: Well, I don't think that the

00124

1 fact that only 8 of 19 or 7 of 13 benefitted means
2 that this has to be targeted. If this is an

3 important benefit to reduce EPO requirements, then
4 these studies seem to establish it. So I don't think
5 we could hope to in every study to find the subgroup
6 that has the greatest benefit. The question is, is
7 this statistically significant and if the answer is
8 yes, well, this is related to that question, was this
9 the primary end point for these studies, and do we
10 take this seriously and were there offsetting adverse
11 effect.

12 But the issue in interpreting these
13 studies, yes, these were significant and yes, there
14 was a prospectively defined end point, and there were
15 not offsetting adverse effects, then the real issue
16 becomes how do you duplicate the population that was
17 entered in these studies, not so much how do you find
18 the subgroups within the study that got the greatest
19 benefit. Because 50 percent of the people got a
20 reduction and the mean reduction was about a third
21 for the experimental group, so that sounds like a
22 fairly large reduction if you think EPO requirements
23 is an important end point.

24 DR. SOX: Other comments? Sean.

25 DR. TUNIS: This is sort of related to

00125

1 Alan's point on the EPO requirements, but also

2 Dr. Holohan wanted to clarify with you was that the

3 original questions that were posed to the panel

4 actually broke down into the specific indications of

5 whether there was adequate evidence that

6 supplementation was effective in EPO resistant anemia

7 and fatigue, in muscle cramps, et cetera,

8 individually broken down; is that right, John?

9 DR. WHYTE: That's correct.

10 DR. TUNIS: So I believe again, correct me

11 if I'm wrong, but I believe that the panel decided

12 not to answer those questions specifically because in

13 part they felt that taken individually, for no single

14 indication did they feel that the evidence met this

15 adequacy criteria. And again, I'm posing that as a

16 question as opposed to, because that's my

17 recollection, including the review of the evidence on

18 EPO resistant anemia. Tom, is that your

19 recollection, or anyone else?

20 DR. HOLOHAN: It is.

21 DR. TUNIS: So I think that then, that's
22 what led to sort of the second recommendation of the
23 panel which is while no individual indication did
24 they feel that the evidence rose to the level of
25 adequacy, they felt that in aggregate there was

00126

1 something there. I don't know if anyone talked about
2 a pony specifically, but that there was something
3 there. And that's my own recollection of the
4 discussion, but if John or anyone else from Sigma Tau
5 or others had a different view, we should hear about
6 that as well.

7 DR. BROOK: I'm a little confused. Why
8 did the panel not just answer the questions no and
9 then go on to other -- I'm trying to deal with
10 process here and improve the process. There were a
11 few questions that were posed. It sounds like you
12 answered no to the evidence questions that Sean just
13 talked about; is that correct?

14 DR. HOLOHAN: Yes.

15 DR. BROOK: Why are they not in the
16 recommendations of the panel? Why did the panel not
17 vote on them?

18 DR. TUNIS: I think the panel asked not to
19 vote on them.

20 DR. BROOK: Well, I'm really wondering
21 about the process. We're being asked to provide an
22 advisory function to HCFA. I mean, I thought Rand
23 was the only person that came in and changed the
24 entire question and context, and then wondered why we
25 never got any business.

00127

1 (Laughter.)

2 I mean, the question here is that we're
3 asked to answer some questions, and I'm being serious
4 about this. Is there part of the minutes of this
5 thing that ought to be brought forth in the summary
6 here of what was proposed, that would state that
7 either the panel did not -- it was obvious by intent
8 or consent that the evidence wasn't there to answer
9 any of these questions, and therefore we can be
10 confident that the answers to the original questions

11 that CMS proposed is no.

12 DR. TUNIS: Well, let me just make one
13 comment in terms of the process, and maybe someone
14 can answer the question about the sense of the
15 minutes. But if you recall, there was a previous
16 episode in which CMS diligently stuck to the
17 questions and forced the panel to answer them with an
18 unsatisfactory result as well, which was that the
19 panel sort of rebelled or made their feelings known
20 in terms of the feeling that the questions were too
21 constrained. Maybe this is deviation too far in the
22 other direction, but the feeling was we had a bad
23 result from forcing questions on the panel that they
24 felt in some way --

25 DR. BROOK: I'm not arguing that they

00128

1 can't answer other questions, but we saw the problem
2 that occurs when you begin to answer other questions
3 if the evidence has not been summarized.

4 DR. TUNIS: Right.

5 DR. BROOK: And what I'm trying to get at

6 is the process here but before we get -- the first
7 issue here was, it sounds like they came close to
8 suggesting that the questions, regardless of whether
9 they're good or bad questions, there was not evidence
10 to answer them, and the evidence was insufficient.

11 DR. TUNIS: That's my recollection, again.

12 Tom, do you want to talk about that?

13 DR. BROOK: And then John said that in
14 answer to question number 1, which the panel
15 recommended, he was concerned to get on the record
16 that the reason that there may be, there may be more
17 evidence to answer question 1 than currently the
18 panel had available when they deliberated. And I
19 just want to, I mean, there seems to be a process
20 problem here. I have no problem with these
21 recommendations. I mean what I'm trying to get at is
22 the process problem.

23 Now on recommendation 2, I have another
24 question. If they voted that there is adequate
25 evidence that some patients would benefit, don't they

00129

1 need to state as they did on the first panel, the

2 other panel, what's that based upon. It sounds like
3 it's based upon hunches that within the trials there
4 are subgroups of people that seem to benefit, but
5 there was not a subgroup statistically specific
6 analysis to support that, but there is clinical logic
7 to support that, and that's the reason that they
8 concluded that there is adequate evidence. I mean, I
9 am just trying to lay out what the rationale, what
10 they believe the level of evidence or effectiveness
11 was in terms of to say that there is adequate
12 evidence.

13 DR. HOLOHAN: Let me read a few statements
14 from our designated nephrologist panel member that
15 may give you a flavor of that. Dr. Paganini says, I
16 have been sort of impressed and unimpressed straight
17 through. I came in with a fairly open mind. In the
18 clinic where I practice there are some folks who use
19 it and some folks who don't, and it seems to be used
20 mostly in subgroups of patients that are on dialysis
21 that you tried everything else and why not try this.
22 In reviewing the literature, I was relatively

23 unimpressed with the outcomes that were purported.

24 However, he goes on to say in a discussion

25 with one of the people testifying, no, I think what

00130

1 I'm trying to do, honestly, Joel, is I think that

2 carnitine may in fact have some significant

3 improvement effect in some patients, and I'm trying

4 to get a handle on who those patients are. And by

5 what you listed here, and I know this is not supposed

6 to be a debate, but what you listed here, I can list

7 for just about all the patients I have ever come in

8 contact with on dialysis, and yet the literature

9 doesn't seem to support that. So I'm just trying to

10 get a handle on who that subgroup might be that would

11 truly benefit and whether or not there is information

12 out there.

13 DR. BROOK: Did anyone question why the

14 FDA said it would be unethical to actually do a study

15 to answer the question, to find a subgroup? This

16 statement says that -- I mean, if this went through a

17 human substance committee, we are in deep doo-doo,

18 because this statement says that what you have told

19 me is that nobody has prospectively identified a
20 subgroup of patients that have a higher likelihood of
21 benefitting from it, and then randomizing them to
22 look at some of these outcomes that HCFA was
23 interested in understanding the effect of. And when
24 you do it across the whole board, you find
25 wishy-washy results. I mean, that's sort of what I

00131

1 heard you say, and everyone agreed to that.
2 And then in light of that, I find this
3 thing very disturbing, that the FDA says because this
4 is a basic -- where it -- it's unethical to subject
5 patients to the risk and discomforts of frank
6 carnitine deficiency in a study designed to assess
7 the clinical benefit of this supplementation because
8 it's an essential metabolic intermediate and that
9 regardless of cause can be a serious and life
10 threatening condition. Now, is there evidence that,
11 and that's the part that I'm missing, is there
12 evidence that if this value or something gets low
13 enough that this is a life threatening condition?

14 DR. SOX: John?

15 DR. WHYTE: I missed part of your question

16 as I was trying to find the original questions, but

17 the comments that I wanted to make, Dr. Brook,

18 relating to issues of process from a staff level is

19 we provided the panel with a lot of information and

20 as Dr. Holohan pointed out, we broke it up by certain

21 types of indications. And part of your issues

22 relating to process, that may be too many questions

23 for the panel to answer for each particular

24 indication. Whatever the point is about that, what I

25 have to emphasize is that the panel did not vote on

00132

1 those questions and it probably should not be

2 presumed by this committee that by not voting on

3 those questions they voted no or said anything about

4 the adequacy of the evidence.

5 In terms of the information we provided to

6 the panel and what we were trying to sort out, the

7 issues are similar to what Dr. Garber mentioned a few

8 minutes ago about how levels correlate with symptoms

9 and what's the appropriate measure. Just from a

10 staff level, part of the issue relating to levels is
11 what we want to consider. If we operationalize a
12 policy, there are some issues of a level helps us to
13 have some indication of how symptoms improve.

14 But the important point that I wanted to
15 make, again, was that it shouldn't be assumed that
16 because they didn't vote on the questions, that they
17 felt that there was not adequate evidence to answer
18 those questions.

19 DR. SOX: Daisy.

20 DR. ALFORD-SMITH: I still don't quite
21 understand how questions are presented to a panel,
22 and they fail to respond in any way.

23 DR. WHYTE: I can tell you, Dr. Smith,
24 this isn't the first time, as Dr. Tunis pointed out,
25 that it's happened. It's happened on other panels as

00133

1 well, and part of what we tried to do is to give the
2 panels flexibility based on the discussions that
3 happen at the panel meeting. Just to tell you
4 process from a staff level internally, we think about

5 what the questions need to be, and we develop the
6 questions in consultation with the chair and the vice
7 chair of the panel, and then we present the
8 questions. Sometimes during the discussion of the
9 meeting other points are brought up, and that's
10 partly what happened at this meeting, that the panel
11 decides to modify them.

12 And you bring up the point, maybe we
13 should force the panel to vote on the questions we
14 originally asked, but as Dr. Tunis has pointed out,
15 that has not always been optimal either.

16 DR. ALFORD-SMITH: Here is a second part
17 of the question. Based upon the responses to the
18 questions that they chose to answer, did that prove
19 to be beneficial to you?

20 DR. WHYTE: Since the panel meeting, we
21 have continued to do a lot of research on the topic.
22 And what I can tell you, it was beneficial because
23 what the panel has basically said is they want us to
24 define what is carnitine deficiency, and that is
25 something that we were working on prior to submitting

1 these questions to the panel, so we are continuing to
2 work on carnitine deficiency, and what I would say is
3 that the panel has sensitized us to the importance of
4 that. As Dr. Garber points out, there may be more
5 than one way to identify patients with carnitine
6 deficiency but not something that we're doing.
7 And then the other point we talk about is
8 the second point about there's adequate evidence that
9 some patients might benefit, because they viewed it
10 in the aggregate that some patients benefit, and that
11 we needed to more work based on the literature, or
12 perhaps presentation of data, to identify that
13 patient population, and that is something that we're
14 doing.

15 So I think these recommendations actually
16 are things that we have been working on since the
17 panel meeting after getting a sense of where the
18 panel thought we should be going.

19 DR. ALFORD-SMITH: Last question.

20 DR. WHYTE: Sure.

21 DR. ALFORD-SMITH: Again, once we respond

22 to their recommendations, should they be able to
23 answer the original questions?

24 DR. WHYTE: I think they will answer the
25 original questions.

00135

1 DR. ALFORD-SMITH: Thank you.

2 DR. SOX: Randel?

3 MS. RICHNER: In terms of process, I think
4 this discussion both from the PET discussions earlier
5 and now this, once again, it really highlights how we
6 have to work on process this afternoon, and I'm
7 hoping that we will have a chance to do that. I have
8 actually asked Connie to make copies again of the
9 guidelines so we can go back to the issue which is
10 very fundamental to all of this, is what questions
11 need to be asked of the panel and how does that
12 process work and who has input into those questions
13 along the way, and how are these defined.
14 And then further, in terms of what are --
15 if Sean, the Executive Committee is sort of stuck in
16 this conundrum of having to do the ratification of
17 the panel discussions until we can fix BIPA and so

18 that we don't have to go through ratification
19 anymore, then Leslie and I talked at break, what is
20 our remit then in terms of ratifying their decisions?
21 Is it about looking again at the evidence or is it
22 about how the process went within the committee and
23 how they made their decisions? Because we're going
24 to end up going into a spiral again on this carnitine
25 issue if we're looking at the evidence, or if we're

00136

1 looking at the process. So Sean, we need your
2 guidance here.
3 DR. SOX: Well once -- we're going to stop
4 having any sort of approval function after this
5 meeting, but we still have a function to oversee the
6 process the panels undertake and to be sure that they
7 follow process, that they report in a way that people
8 can understand the logic that links the evidence to
9 their conclusions, and generally to have an oversight
10 function that I hope that we are very active about,
11 because I think it's an very important role for this
12 group here. And I agree with you, I think there are

13 some holes here, and that there is a job for us to
14 do.

15 This statement here which at least I
16 didn't see until today, doesn't give any kind of
17 flavor for the discussion of what the original
18 questions were, why they decided to abandon those
19 questions, which I think is their privilege. We may
20 criticize that on the basis of their reasoning for
21 abandoning them, but we're left with a very skeleton
22 document that doesn't give any sense of either the
23 process or really the rationale for the final
24 recommendations, which we're learning during this
25 discussion but personally I think we ought to be

00137

1 seeing them before we get to the meeting. John.

2 DR. FERGUSON: As some of you know, I was
3 director of the consensus program the NIH for 11
4 years and the program has existed for 25 now. And
5 the crucial thing besides the composition of the
6 panel was the formulation of the questions which the
7 panel was asked to address. And the planning
8 committees always spent nearly a day, at least half a

9 day formulating those questions, and that was a
10 fairly high powered group. And every panel,
11 virtually everyone wanted to change the questions or
12 at least some of the questions once they got to the
13 consensus conference, and we made it a standard rule
14 that the questions could not be changed.

15 Now, I would suggest that formulating the
16 questions for which these panels are going to be
17 asked to address is a very very important thing and
18 the wording is terribly important, and that possibly
19 some of our input, certainly the panel chair's input
20 could be, and getting a review of those questions
21 once CMS has formulated them.

22 DR. TUNIS: I would just emphasize, HCFA
23 spends a tremendous amount of time working on these
24 questions. But as you know, part of the reason we
25 refer a small percentage of issues to the coverage

00138

1 advisory committee is that we find the issues to be
2 complex enough that in fact we cannot guarantee that
3 the questions are perfectly formulated. If we could,

4 we probably wouldn't need to come to MCAC with the
5 issue in the first place.

6 In the case of the PET for breast cancer,
7 I think the panel made a very intelligent refinement
8 of a question by breaking it into two pieces and that
9 was arrived at by a careful review and discussion of
10 the evidence that is the function of the MCAC in the
11 first place. So I don't think there is ever going to
12 be a way that we can guarantee, no matter how careful
13 the process, that we will get the questions
14 perfectly.

15 And I don't agree that we should never
16 consider changing the questions once we get there,
17 because again, it assumes that we knew more going
18 into the meeting than we have learned during the
19 meeting. And this isn't the NIH consensus process,
20 this is a coverage advisory committee, it's a
21 different process, it has a different function. So
22 you know, I think that part of what is going on here
23 is part of the process that needs to go on, which is
24 you know, dealing with difficult issues and a
25 difficult process.

1 So you know, whether or not this is the
2 way it should have worked and that we should have
3 changed these questions, is obviously open to
4 discussion.

5 What I also do want to point out is in
6 terms of the function of the Executive Committee
7 related to the panels, it was a legal requirement
8 that we have an executive committee reporting to CMS,
9 so the purely technical reason behind it was that
10 panels would report to the Executive Committee out of
11 necessity, not because anybody thought that was the
12 perfect process. Since we have the ratification
13 function we have to figure out what to do with it,
14 and I think you need to understand that we take the
15 input and discussion of the panels and even if the
16 Executive Committee completely came to a different
17 conclusion doesn't mean that we don't pay attention
18 to what the panel said. We take into account what
19 the Executive Committee says in addition to what the
20 panel says.

21 So it's all, you know, recommendatory or
22 whatever the word is, advisory, that's a better word,
23 thank you. And so I just don't think you have to
24 worry quite so much about, you know, whether this is
25 an undermining of the panels. It's all additive to

00140

1 the input that we get from the panels.

2 DR. SOX: Yeah, but transparency is
3 important in public affairs and when you get a
4 document that is so opaque as this one, we're not,
5 it's our job to be sure that panels are accountable
6 to us and the public, and part of that is explaining
7 their reasoning if they go off in a different
8 direction.

9 MS. RICHNER: There is just one thing I
10 want to add. The problem is that if we should send
11 the decision back to the panel once again, we have a
12 time issue, and that could prolong this process
13 exponentially. I'm sure Barbara was a little
14 concerned that this was going to go back to panel, as
15 we all were, so we have to take that into account as
16 well, Sean. I agree, and I respect that you're

17 taking all of this in as an advisory kind of issue,
18 but process could lead to a very very long time
19 associated with this, so we have to be very cognizant
20 of what we recommend and advise, and how we ratify
21 this.

22 DR. SOX: I just want to remind us that
23 while we're getting off into important general
24 discussion of process, that we aren't going to go to
25 lunch until we deal with these recommendations, so I

00141

1 do want to move us back fairly quickly to
2 recommendation number 2 and whether it's phrased, you
3 know, whether we should have it stand as it is. But
4 why don't we take a couple more questions on the
5 general issue.

6 DR. BROOK: Hal, let me just make two
7 comments. The first is that what Barbara's group did
8 was to split a question and then vote on both parts
9 of it, and that's fine, and we know how to make that
10 in the record transparent. I can't tell from
11 number 2 whether what Tom's group did was to take the

12 individual indicators of respiratory, exercise
13 tolerance, EPO requirements and others, and lump them
14 together in this vague group called patients benefit
15 because they couldn't answer the individual questions
16 and try to lump them together. I am assuming that's
17 what they did here, because it would be nice if that
18 was transparent.

19 Now, what's missing from this is the
20 statement of how they judged adequate evidence, and I
21 think we have to vote no, given our process on
22 anything that says there is adequate evidence without
23 the question that Barbara's panel was forced to vote
24 on, which was, what's the effect, how did they get to
25 that level, what's the evidence based upon, some

00142

1 statement in the minutes to make it transparent. We
2 seem to approve without discussion anything that says
3 there is insufficient evidence or inadequate, we
4 don't spend a lot of time on those things.

5 So I'm wondering whether, Tom, there is
6 stuff in the minutes, or the transcripts, that you
7 can add something to this that would say we based

8 adequacy on the following, so that there is something
9 here that would explain how you judged adequacy of
10 evidence against the process that we put together.

11 Can we add two or three sentences here?

12 DR. HOLOHAN: It's possible, but I can't
13 guarantee that that would be satisfactory.

14 DR. SOX: Maybe I can say it a little bit
15 differently than Bob. Adequate ought to mean more or
16 less the same thing regardless of which panel is
17 reporting on which issue, and if we allow adequate to
18 take on whatever meaning the panel chooses to impose
19 on it in the course of a discussion, you know, we
20 don't have a good process. And you can say adequate
21 and then give qualifiers that indicate it really
22 isn't quite up to the usual standard, but we're going
23 to have to learn how to be consistent from panel to
24 panel and discussion to discussion in how we use
25 really important words like adequate evidence.

00143

1 DR. HOLOHAN: The transcript does reflect

2 my reading the summary of the definition of adequate

3 evidence based on the material the Executive
4 Committee provided. I'm not sure you can follow that
5 trail clearly through to these conclusions.

6 DR. SOX: Let's talk about this. Do we
7 simply want to leave this stand? Maybe I can just
8 raise a question, Tom. Was the implication that the
9 evidence was good enough so that HCFA should go ahead
10 and provide coverage as soon as the guidelines are
11 created without any sort of further consideration of
12 for example, your ability to identify which
13 population would benefit?

14 DR. HOLOHAN: Well, I thought that was
15 part and parcel of number 2, that establishment of
16 rational guidelines that identified this patient
17 population, i.e., those patients who would benefit,
18 Medicare coverage should be provided.

19 DR. SOX: And that's sort of based on
20 things like 8 out of 17 and 9 out of 18 patients
21 benefitted. Yes?

22 MR. MEHRLING: In going through the
23 minutes, and I appreciate the difficulty in
24 identifying this, but Dr. Paganini actually tried to

25 address that specific issue, and he started, you

00144

1 know, I think you stated correctly what I wanted to
2 do. I'm very concerned that if we take all the data
3 that has been presented and has been shown and has
4 been published, that there are some very significant
5 responders in that population that carry the mean of
6 those studies. And if we say that there is no
7 indication that carnitine does any good to anybody
8 based on those studies which are very weak, we are
9 going to eliminate a significant number, albeit not a
10 large proportion, but still a significant number of
11 folks that do respond to this therapy and have had
12 dramatic responses, not only -- and it goes on.

13 What he was really doing was showing that
14 there were some studies where the mean was carried by
15 a small number, and they wanted to get at identifying
16 better who those patients were, although the studies
17 were statistically significant, and that was part of
18 the discussion.

19 DR. BROOK: Can I -- what I don't

20 understand is if you take a group of hypertensive
21 patients and you treat them, not all of them are
22 going to benefit from hypertension therapy but the
23 studies would show that some do, and we then approve
24 it for everybody because we don't know up front which
25 of these will benefit, because we can't tell which

00145

1 person with the 95 diastolic will benefit from this
2 drug, and we would probably have to give 100 people
3 the drug to have one person benefit.

4 Now what I'm asking, from the data that
5 you reviewed, the panel process, when you reviewed
6 these studies, did you believe that there was a
7 statistical case made using our definition of
8 evidence, that when they gave this group of patients
9 this drug that any of these, I don't care, any, all,
10 collectively, singularly, that any of these benefits
11 actually were different, indicating that there is
12 some action in at least some subset of this
13 population by providing this supplement?

14 I mean, the way you presented it, Tom, I
15 got the sense that you didn't believe that, and

16 that's what really shook me up.

17 DR. HOLOHAN: Well, you've asked two
18 things. You said when you looked at all of these
19 data for all of these indications, did you believe it
20 was beneficial and the answer was no, the panel
21 generally concluded that the evidence was
22 insufficient for treatment or prevention of any of
23 those signs or symptoms. But then you went on to say
24 but did you believe there was a subset, and I think
25 several members of the panel believed there was, as I

00146

1 quoted Dr. Paganini's statement.

2 DR. BROOK: That was shown by the data,
3 not that was shown by, I treated three people and
4 they benefitted and the symptoms disappeared. I
5 understand that. I don't understand -- I mean, do
6 you believe that there was a subset, or is the subset
7 so small, like one in a thousand, that the sample
8 size just overwhelms it with noise and the studies
9 have not been able to pick it up?
10 I don't understand what the panel believed

11 about the evidence. Once you tell me that, then we
12 can understand when you meant here.

13 DR. HOLOHAN: I think that was
14 encapsulated in -- do you want to read Dr. Paganini's
15 statement again? I think that was generally accepted
16 by most of the panel members.

17 DR. BROOK: So let me go through this,
18 that the proportion of people is so small that the
19 evidence for the studies as a whole, all of the
20 studies doesn't support it.

21 DR. HOLOHAN: Are not compelling.

22 DR. BROOK: And the reason it doesn't
23 support this is there are so many people in this
24 group that don't benefit from the supplementation,
25 and therefore the noise of just having those people

00147

1 there overshadows this small effect that clinicians
2 have observed in a few very seriously deficient
3 patients who get better with this therapy, and that
4 that's the belief, that was how the evidence was put
5 together by the panel.

6 MR. MEHRLING: Dr. Paganini was not

7 stating that, and I don't mean to correct but to
8 clarify, that the mean is carried by the responders,
9 and that you would have a 7 of 15, or a 4 of 15
10 respond, and the change would be statistically
11 significant as a group.

12 (Inaudible colloquy, people speaking at
13 same time.)

14 DR. GARBER: He's just saying that the
15 benefits are skewed and so the problem with that of
16 course, is that when you say the benefits are skewed,
17 that's kind of like saying that people who do well
18 with surgery are going to do well with surgery.
19 You're defining by the end point rather than, unless
20 you can prospectively identify that skewed group,
21 because the benefit is not really useful.

22 DR. BROOK: If the drug is completely
23 safe, Alan, I beg to differ. If this is a really
24 safe drug and you don't have to identify who's
25 benefitting if in the whole population basically the

00148

1 mean level of the population is different. Just like

2 you treat everyone with diastolics of 95 even though
3 we don't know who benefits from them or not.

4 (Inaudible colloquy, people speaking at
5 same time.)

6 DR. GARBBER: But whether it's skewed or
7 not, if you thought that this was a net beneficial on
8 an average group of population, then you would say
9 yes, it's a good thing. You can only take advantage
10 of the skewness if you can prospectively identify the
11 subgroup.

12 DR. BROOK: Absolutely. Like HCFA has
13 done with oxygen lower than 55, or whatever the value
14 is, we give them home oxygen, or if you get epogen,
15 if the value is below something on a hematocrit or
16 anemia, because we believe that those people
17 benefitted more. All I'm saying here is that you
18 don't, I mean, did you find statistical evidence, and
19 I'm pushing it. What I don't here from you is that
20 the statistical case was actually made that any of
21 these studies prospectively identified a subgroup and
22 that in that subgroup it benefitted. On the other
23 hand, the stuff that Alan quoted suggested that there

24 was responders in terms of epogen. Is that correct?

25 And if that's correct, then we have a benefit and we

00149

1 have a study, and we have evidence, and if we accept
2 that as a benefit, then we can accept recommendation
3 number 2.

4 DR. SOX: If the evidence that epogen
5 requirements are reduced is a statistically
6 significant observation in a recently constituted
7 patient sample then we can probably accept the truth
8 of number 2. We don't have to identify who they are.

9 DR. GARBER: Well, they have to correspond
10 to populations in those studies.

11 DR. SOX: Right. But at least I haven't
12 heard the level of evidence and the level of detail
13 in this doesn't really tell me in small numbers
14 whether this was a real, or consistent with a chance
15 fluctuation.

16 Would you like to identify yourself?

17 DR. SCHREIBER: I'm Dr. Brian Schreiber.

18 I'm an assistant clinical professor of nephrology at

19 Medical College of Wisconsin. I also am a clinical
20 nephrologist in charge of 300 dialysis patients in
21 Wisconsin, and I also consult for Sigma Tau because I
22 studied carnitine for many years, have published on
23 it and researched carnitine.

24 I apologize for not speaking sooner. I
25 don't really know the process here, but I do want to

00150

1 just -- I was at the meeting, I do want to help
2 clarify some questions that have been raised.

3 DR. SOX: I do want you to focus on
4 question number 2.

5 DR. SCHREIBER: Absolutely. First of all,
6 the actual -- you know, this question, was there
7 evidence, was there not evidence, the actual motion
8 that was actually passed, was voted on and passed
9 actually contained the words that there was adequate
10 evidence, adequate evidence that certain subgroups of
11 ESRD patients on dialysis would benefit from
12 administration of levo-carnitine. Now, exactly what
13 Dr. Garber said is what was found.

14 See, the hearings, the panel actually did

15 a very detailed look at each of these studies. The P
16 values were significant in many of these studies. A
17 pattern emerged however, where in many of these
18 studies there were dramatic responders and it was the
19 feeling of many people that these dramatic responders
20 were accounting for the positive P values. Yes, they
21 were positive P values, they were statistically
22 significant. And we, what happened was I got the
23 sense frankly, this was a very good panel and
24 Dr. Holohan ran this like the best med school
25 professor I have ever seen. He had people looking

00151

1 deeper than the questions were asked.
2 And what happened was people said okay,
3 yes, it's statistically significant, the P values are
4 good, but they also are skewed as a very dramatic
5 group. So shouldn't we say that we should try to
6 identify this group, that to get this it would be
7 better if we could prospectively identify this group,
8 and that's what the conclusion was. It was not
9 saying that the P values were not significant, it was

10 acknowledging there was a clustering of dramatic
11 responders. Let's tell HCFA to go to work and find
12 out how to maximize the chance of getting that
13 cluster, and that's what the recommendation was in
14 regards to 2.

15 Can I say one thing about levels please?

16 As far as the levels in the FDA, there is some
17 confusion there because the FDA's statement on
18 levels, and this is why the people were a little
19 unclear on levels, refers to primary carnitine
20 deficiency, a condition in children principally who
21 are unable to metabolize carnitine. These were not
22 dialysis patients, so the level of 20 percent. They
23 found, the reason the FDA actually approved carnitine
24 is that they found that the mean level between
25 dialyses approximated that, and so people said well,

00152

1 should we just talk about a level?

2 What Dr. Kopple, who is one of the eminent
3 people in nephrology and metabolism within nephrology
4 pointed out, and many nephrologists believe, that you
5 have to look at carnitine deficiency and carnitine

6 insufficiency, meaning you have to balance the
7 carnitine according to how many fatty acids you have
8 to metabolize.

9 And that's what was raised to the
10 committee, that you can't necessarily take a level
11 that has been examined in primary carnitine
12 deficiency in children with healthy kidneys, and
13 generalize that to the dialysis population. And they
14 felt, again, that we had to look deeper at that,
15 because the metabolic needs of the dialysis patients
16 were different. So that's why it was sent back to
17 HCFA, to say okay, you get together some smart people
18 in nephrology and you tell us in dialysis patients
19 how you would define that, because the population the
20 FDA was talking about in terms of its level statement
21 was different. Does that make it any clearer?

22 DR. SOX: Thank you.

23 DR. GARBBER: I'm just wondering, John
24 Whyte told us that they really didn't do an extensive
25 look at the literature on levels of carnitine and so

1 on. Is there a literature that we could turn to that
2 hasn't been reviewed by MCAC or by the panel that
3 would help you to identify that subgroup of high
4 responders if you want to call it that, that really
5 respond well to carnitine supplementation? Is there
6 a literature, or would this be just the opinions of
7 experienced clinicians not directly supported by
8 formal studies.

9 DR. SCHREIBER: That's a good question.

10 There is not a dedicated literature to that.

11 However, what we did and what took place actually at
12 Dr. Holohan's direction was looking at the studies
13 and looking at the characteristics of studies that
14 had more positive outcomes and more negative
15 outcomes. And what the panel did was then look at
16 the characteristics of the patients, whether the
17 condition existed and was clearly defined, whether
18 alternative explanations for the same clinical
19 condition had been looked at, and we compared those
20 things. And so it was really taking from the
21 studies, trying to extrapolate that group.

22 But as far as studies where they started

23 out prospectively with that group, that is within
24 those studies, a lot of that information is within
25 those studies, and that's where the meeting was

00154

1 directed, to try to extrapolate that, and that's
2 where CMS has also been directing its attention, to
3 try to extrapolate, because there's a lot of data on
4 carnitine, it has been around a long time, and so to
5 extrapolate from the data that's there the best ways
6 to define this group. Within the data that's there,
7 you can make those extrapolations, but it's contained
8 within the greater literature.

9 DR. SOX: I'm hoping that a story is
10 emerging that is making us more comfortable with
11 number 2, I'm not sure that is true, but I think we
12 do need to move on, so if we could have a few wrap-up
13 comments on number 2, I don't think we're going to
14 learn much more to help us on this. Bob, and then
15 Bob.

16 DR. BROOK: If I could just ask one
17 question about number 2. Did the panel decide, the

18 first part is adequate evidence that some patients
19 would benefit. What I'm asking is did the panel
20 discuss when they did this asking the question that
21 because of the uncertainty of this protocol of
22 identifying patients that Medicare, that CMS should
23 actually set up number 1 and test it, as opposed to a
24 demand that everyone gets full coverage to it? Was
25 there some discussion of that?

00155

1 I'm just trying to get the intent of the
2 panel out of this, because you go from this to that
3 once we have this, everyone ought to be covered. Do
4 you think it's unethical, or did the panel discuss
5 this, that it would be reasonable once you develop
6 this protocol to randomize people? These look like
7 very short-term outcomes in terms of EPO, hematocrits
8 and hemoglobins, you know, is this something that
9 everybody ought to be covered that you felt at the
10 moment, or how did the panel get from the first
11 sentence to the second sentence, that Medicare
12 coverage should be provided to everybody?
13 DR. HOLOHAN: Let me think about that

14 nonsuccinct question. The panel never reached to the
15 issue of whether research should be done, either
16 sponsored by HCFA or not, to identify that group of
17 patients. What the panel believed was that until and
18 unless there were reasonably sufficient information
19 that could a priori identify patients who would be
20 likely to benefit, that Medicare should not routinely
21 provide this as a benefit to all patients, some of
22 whom might potentially benefit.

23 DR. BROOK: I understand that, but how
24 about the ones, let's say tomorrow they come up with
25 this mechanism, define this mechanism. I just want

00156

1 to make sure, the intent of the panel was that once
2 CMS does that, that the advice to CMS would be to
3 recommend coverage for everyone that falls into that
4 guideline.

5 DR. HOLOHAN: Correct.

6 DR. BROOK: Without any further testing.

7 You didn't think there was a need for any further
8 scientific data, based on --

9 DR. HOLOHAN: Now, the premise as I
10 understand it that you have proposed is if in fact
11 one could reliably identify those patients who would
12 benefit, and the panel believed that it was possible
13 to do that, that for those patients coverage should
14 be provided. I would think intrinsic in that is the
15 belief that the mechanism for identifying them would
16 be less than accurate, so why would you have to study
17 something?

18 DR. BROOK: So you believe that there is
19 such a mechanism that can be done, the data supports
20 all that and that's the logic behind this
21 recommendation. I just want to be clear about that,
22 the panel in reviewing the evidence believes that CMS
23 can do this, and once it's done, it would be
24 unethical really to randomize these patients or to
25 study it any further, it's time to cover them.

00157

1 DR. HOLOHAN: I don't think the panel
2 overtly or covertly expressed the level of confidence
3 in CMS's probability of success in establishing these
4 guidelines but the panel thought that it was a worthy

5 attempt.

6 DR. BROOK: So, I move we ratify all three
7 motions.

8 DR. AUBRY: Second.

9 DR. FRANCIS: I need to understand 3.

10 DR. SOX: Okay. We're on to 3 unless
11 there is something big on number 2. Wade.

12 DR. AUBRY: This is a point of
13 clarification. Was it the intent of the panel when
14 you talked about rational guidelines that identify
15 the patient population, you also were including in
16 that rational guidelines for therapeutic dose?

17 DR. HOLOHAN: No, we did not address the
18 dose. If you look at the little matrix that I handed
19 out and just looked at the dosage, routes of
20 administration and dosages, it was impossible. They
21 were all over the chart.

22 DR. AUBRY: Well, I'm not sure this needs
23 to be in a motion, but I would hope that CMS when it
24 does its review would also try to develop some
25 rational guidelines for dosage as well, but I'm not

1 making a motion.

2 DR. SOX: Let's go on to number 3, Leslie.

3 DR. FRANCIS: Yeah. I just heard two
4 different things and I want clarification. Does 3
5 say the evidence is sufficient that the route of
6 administration doesn't matter, or does 3 say the
7 evidence is insufficient that it does, and I thought
8 I heard you say both of these.

9 DR. HOLOHAN: Well, what this says is what
10 it says.

11 DR. FRANCIS: So it's insufficient
12 evidence about whether the route matters?

13 DR. HOLOHAN: Yes.

14 DR. FRANCIS: So we would want to get more
15 evidence about whether it does.

16 Dr. HOLOHAN: But we didn't answer that
17 question.

18 DR. SOX: Any other questions about
19 number 3? In that case I think it's time for a
20 motion and a vote.

21 MS. ANDERSON: We actually have a motion

22 on the floor, Dr. Brook's motion that we vote on all
23 three, and Dr. Aubry has seconded it.

24 DR. SOX: Okay. Any discussion of

25 Dr. Brook's motion to approve all three of these? In

00159

1 that case, aren't you supposed to do this?

2 MS. ANDERSON: This is my part. For the
3 record, Dr. Garber is absent for this vote.

4 And the motion is to approve all three
5 recommendations of the Drugs Biologics and
6 Therapeutics Panel. And those who are voting for?
7 Those who are voting against? And those who are
8 abstaining? It's unanimous, with the one absence.

9 DR. SOX: I note that we're only five
10 minutes, and we will resume please, promptly at 1:30,
11 because we have a very interesting discussion this
12 afternoon.

13 (Luncheon recess from 12:37 to 1:38 p.m.)

14 DR. SOX: I would like to begin the
15 afternoon session. We are going to spend the next
16 hour or so reflecting on our guidelines for

17 evaluating diagnostic tests, specifically imaging
18 tests, and Sean is going to lead this off. Ellen
19 Feigal, from National Cancer Institute, is going to
20 follow. Alan and I will make some brief unprepared
21 comments, and then we will have a general discussion,
22 the goal being to think about our guidelines for
23 evaluating diagnostic tests and decide whether the
24 results of this workshop might lead to us want to
25 make some changes. So with that, I will turn it over

00160

1 to Sean.

2 DR. TUNIS: All right. Well, we decided
3 to, you know, add this session to discuss the
4 framework for evaluating diagnostic tests, and that
5 hopefully, you know, people can be somewhat more
6 interactive and controversial than they were this
7 morning. Especially Dr. Brook, I think you really
8 need to come to the fore to a greater extent.

9 (Laughter.)

10 DR. BROOK: You realize this is in a
11 formal set of minutes?

12 DR. TUNIS: Yes.

13 DR. BROOK: Can I get severance pay for

14 life from this committee?

15 DR. TUNIS: We will but put that through

16 our process and let you know.

17 So anyway, I just wanted to give a couple

18 minutes introduction to how we came to collaborate

19 with the NCI and particularly Dr. Feigal on having

20 had a workshop to address the issue of alternative

21 frameworks for evaluating diagnostic tests. As many

22 of you know, the existing framework that the MCAC has

23 developed and is attempting to apply to making

24 recommendations on diagnostic tests fundamentally

25 works by looking at specific indications for use of

00161

1 the diagnostic tests one at a time.

2 So for example, we would be looking at in

3 the imaging area, we're looking at the use of PET

4 scanning for breast cancer, for the staging of the

5 axillary lymph nodes, and we're looking at evidence

6 for that specific indication and trying to make some

7 conclusion based on the literature that directly

8 addressed that question. What has been pointed out
9 as a limitation of that approach, particularly
10 relating to imaging and oncology, is that it could
11 potentially require a vast amount of clinical
12 research because the number of potential clinical
13 applications within any individual cancer are quite
14 numerous, and you know, there's sort of the four
15 basic categories of screening diagnosis, staging,
16 restaging, and monitoring response to therapy, but
17 within that there are all kinds of individual
18 clinical applications that might even be refinements
19 within those. So restaging colorectal cancer within
20 the setting of a rising CEA, for example, is a
21 specific question that one might look at separately
22 and require a separate body of clinical research for.
23 So one of the things that we were looking
24 to explore was whether there were approaches to
25 evaluation of diagnostic tests that would allow some

00162

1 sort of sensible extrapolation from clinical evidence
2 in one particular clinical use to other clinical uses
3 for which there is not direct scientific evidence.

4 And the idea would be for example, that if you knew
5 something about the metabolic activity related to FDG
6 of breast cancer, that might be informative if you
7 knew then that FDG-PET was useful for restaging of
8 breast cancer, might you also be able to make some
9 logical conclusions about its clinical utility in
10 monitoring responses to therapy. Those are just some
11 examples that we're currently faced with.

12 As I mentioned kind of at the end of our
13 breast cancer discussion this morning, we did for the
14 December decision memo on PET scanning for six
15 oncologic indications, we kind of did a quick and
16 dirty version of this extrapolating already, which is
17 we essentially made up a rule that said if you have
18 clinical, good scientific proof of clinical
19 effectiveness for a single indication within a
20 cancer, Medicare will provide coverage for all
21 clinical indications within that cancer except for
22 those where there is not, where there is some
23 evidence to suggest that it wouldn't be useful for
24 that clinical application.

25 And kind of the crude notion there was

00163

1 that within a cancer there is some commonality of the
2 biology or molecular activity related to PET and one
3 might be able to make extrapolations that the
4 clinical utility proven in one clinical application
5 would be extrapolatable to others. It's by no means,
6 that doesn't integrate seamlessly with the evidence
7 based approach for coverage decision making or the
8 MCAC recommendations that have been enunciated in the
9 MCAC guidelines. And so to sort of further explore
10 those issues we had this workshop and Ellen Feigal is
11 going to talk a little bit about some of what came
12 out of that workshop and then I throw the whole issue
13 open to discussion for the committee. So with that,
14 Ellen, I'm sure so far everyone is with us and
15 they're completely on board.

16 DR. FEIGAL: And they are all awake after
17 lunch. What I'll do then is, Sean placed things in
18 context for you about the fact that our different
19 agencies are working together and in addition also
20 working with the Food and Drug Administration as

21 well, and what we were trying to do is brainstorm on
22 ways to think through this process, realizing that
23 the standard of conventional frameworks seems to be
24 based on sound scientific and clinical principles,
25 but to not go in the wrong direction but to balance

00164

1 this with the practical realities of conducting
2 clinical studies in people and all the vagaries of
3 how clinical studies need to be conducted, the
4 particular unique problems associated with doing
5 diagnostic studies, how it's a very complex route
6 between a diagnostic study and the actual management
7 that is decided on for that patient, and the fact
8 that you have different doctors delivering the
9 diagnostic test from the doctors who are actually
10 personally taking care of the patient. So there are
11 lots of complex issues to take into account as we're
12 thinking about how to move forward and make some
13 forward progress in this area.

14 So what I'll do is just give you some
15 highlights from our workshop and then really the vast

16 majority of the time for discussion. And I know this
17 goes without saying, but feel free to interrupt if
18 you have any questions.

19 We're just using this as a template to
20 focus the overhead.

21 Let's go to why did we even do this. As
22 Sean went over, there were multiple reasons that we
23 thought were important to go over. We thought that
24 the current MCAC diagnostic guidelines as they're
25 written requires accurate direct or empirical

00165

1 evidence for each clinical indication. The fact of
2 the matter is there are many cancers and within each
3 cancer there's many diagnostic clinical settings.
4 And just to get down to the practical reality, it
5 probably is not practical or efficient to conduct
6 high quality evaluations for every proposed use of a
7 diagnostic technology.

8 MS. RICHNER: Will we get copies of these?

9 DR. FEIGAL: I will send them to Janet and
10 she could forward them.

11 DR. BROOK: Did you note that I wasn't the

12 first to interrupt? I want to note that formally for
13 the record.

14 (Laughter.)

15 MS. RICHNER: It's always a race between
16 you and I.

17 DR. BROOK: But the thing is, which is the
18 most disruptive interruption.

19 DR. FEIGAL: So the overall, the purpose
20 of this workshop was really to get together an
21 interagency group. We wanted to get together the
22 people who actually fund these type of scientific and
23 clinical studies, with the agencies that regulate the
24 approval of the products, with CMS who regulates the
25 coverage and reimbursement for the uses of these

00166

1 products. We also wanted to get together with health
2 care providers, with investigators who see patients,
3 with technology developers, and see if we can at
4 least discuss ways to think about alternative
5 frameworks for scientifically based reproducible and
6 understanding decision making process.

7 And the reason why this was really
8 catalyzed by conversations that we've had with CMS,
9 in that they felt that they wanted to address this in
10 a more comprehensive way and to consider alternate
11 ways of thinking about this issue. So we wanted to
12 explore alternative guidelines or frameworks for
13 evaluating diagnostic imaging that are explicit, that
14 are practical and that are efficient, and that these
15 guidelines or frameworks would consider several
16 fundamental characteristics of diagnostic imaging.
17 It may be that one size does not fit all,
18 maybe this doesn't apply across the whole menu of
19 diagnostic tests, but we thought there were some
20 specific issues in diagnostic imaging that warranted
21 further discussion and might be illustrative of other
22 issues that you address in other areas, so this is to
23 be thought of as an example.

24 DR. FERGUSON: Am I to assume this is all
25 imaging diagnostic, not just cancer?

00167

1 DR. FEIGAL: Well, I'm focused because I'm
2 from the National Cancer Institute, I'm focusing on

3 cancer. Presumably this could be illustrative of
4 other types of diseases in which there are many
5 different indications within a specific disease, but
6 I'm just going to focus on the cancer issue.

7 Diagnostic imaging of course, these
8 technologies have potential value for many different
9 pathological conditions, many different diseases, and
10 these technologies have many different specific
11 clinical indications within each condition and for
12 each possible indication, there are numerous other
13 imaging or diagnostic study results for which the new
14 modality may substitute or it may provide
15 complementary information. I'm not telling you
16 anything that's unique to cancer, but because I'm
17 from the Cancer Institute I'm just going to limit my
18 comments to the cancer issues.

19 We had the workshop, as I said, with
20 people from different agencies, with people who are
21 involved with doing technology assessment, with
22 clinicians who actually have to see patients and make
23 decisions when they're in their office, with

24 diagnostic radiologists who need to conduct these
25 tests and interpret the results, so we had a diverse

00168

1 group in the room of about 30 to go over these
2 issues, so we had people who had some sense of the
3 issues we were trying to address, but also had some
4 real experience, in the trenches experience of having
5 to deal with patient related issues and trying to put
6 this in the context of having some reasonable
7 guidelines to work under.

8 MS. RICHNER: Did you have manufacturers
9 at all?

10 DR. FEIGAL: We did not have anybody from
11 industry at this first meeting. We thought of this
12 sort of as a process; we wanted to get sort of our
13 own ducks in a row to see if we could come to some
14 points of agreement at least among ourselves,
15 realizing that that may just be the first of several
16 steps that may subsequently need to take place.

17 DR. MCNEIL: I don't understand the first
18 bullet. Is that something you agreed was a
19 reasonable thing to do, or is that the reason we're

20 here, to discuss it further?

21 DR. FEIGAL: This is the first time that

22 I'm bringing this out to the group, and so why don't

23 I go through the different points that we appeared to

24 agree upon at the meeting. And Hal was at the

25 meeting, Al Garber was at the meeting, Sean was at

00169

1 the meeting. I don't believe there's anybody else in

2 this room who was at the meeting, but they can also

3 offer their own interpretation as to our points of

4 agreement, but this was part of a summary that we put

5 together collaboratively and distributed to all

6 participants at the meeting, and as far as I can tell

7 there were no caveats to the summary. These are the

8 consensus statements that are in the actual summary.

9 So I'm going over these now for the first time in a

10 more public setting.

11 DR. TUNIS: But just to clarify on that

12 point, Barbara, this is really being presented as

13 kind of raw material for you all to consider, and if

14 the MCAC decides they really, after hearing this,

15 don't want to move anywhere beyond where our current
16 guidelines are, the current MCAC framework, that's
17 fine. This is not activity meant to supersede the
18 authority of the MCAC to have their own guidelines
19 and framework.

20 DR. MCNEIL: The reason I was asking,
21 Sean, is that's sort of a loaded statement in my view
22 and --

23 DR. FEIGAL: Well, why don't you let me
24 before we interpret it, why don't you let me present
25 it with some additional words besides the bullets,

00170

1 because sometimes just reading the bullets, you might
2 come to one conclusion and so just like this morning
3 when you were going through things, why don't you let
4 me sort of present it and then we can discuss it. Is
5 that all right?

6 DR. MCNEIL: Sure, absolutely.

7 DR. FEIGAL: So what we agreed on is at
8 least to consider developing a formal approach to use
9 modeling techniques as an adjunct or as a substitute
10 for clinical studies evaluation diagnostic tests.

11 What we're saying is consider whether or not modeling
12 might be one approach we could use to try and tackle
13 some of the complex issues that we have to deal with,
14 that there is a lot of evidence in one indication but
15 a very limited amount in another clinical setting of
16 that same cancer. Or the issue that Sean was dealing
17 with, we may know quite a bit about breast cancer but
18 not very much about a rare form of sarcoma. So it
19 was trying to get a sense of -- there was at least an
20 agreement that it was worth pursuing as an approach,
21 I'm not saying that we can do it.

22 DR. BROOK: Why did you limit this to
23 diagnostic? You have exactly the same problem on the
24 therapeutic side.

25 DR. FEIGAL: Only because it's a huge

00171

1 issue and we're just trying to get our hands around
2 something that we could handle. Also because we have
3 developed interagency collaboration in the area of
4 diagnostic imaging, so we were taking advantage of
5 the fact that we already have some working

6 relationships with the other agencies in diagnostic
7 imaging and so we thought it would be a good place to
8 start.

9 DR. BROOK: So this is addressing the
10 balance between modeling and clinical studies to
11 provide evidence, is what this is about.

12 DR. FEIGAL: This is just one half that
13 was discussed.

14 DR. BROOK: I understand that, but th
15 overview of this is to address the issue between
16 producing evidence by clinical studies or by modeling
17 or combinations to advance knowledge, this is the
18 topic that you're talking about?

19 DR. FEIGAL: For this one point.

20 DR. BROOK: For diagnostics.

21 DR. FEIGAL: No, for this one point of
22 points of agreement.

23 DR. BROOK: It's diagnostics.

24 DR. FEIGAL: Correct, in diagnostics.

25 There are other points that I'm going to get to on

00172

1 this transparency.

2 DR. BROOK: Okay. Can I just ask, what's
3 the motivation for doing this, where did this come
4 from?

5 DR. FEIGAL: The motivation for doing this
6 is in the past, the way the diagnostic imaging has
7 come into play, x-ray, CT, MRI, ultrasound, is that
8 there has been sort of general coverage across a
9 whole variety of diseases, a whole variety of
10 conditions, and it's understood that there's
11 obviously many potential problems with having a broad
12 coverage in that regard because you may have use of
13 the technologies in inappropriate settings. You may
14 certainly have use in appropriate settings, but you
15 also may have overutilization of the technology.
16 So that's one extreme. Then what we're
17 going to now with the current guidelines is going
18 indication by indication by indication.

19 DR. BROOK: I understand, but what you
20 said here is to use this as a coverage decision to
21 cover tests and procedures on a specific patient
22 indication by indication, that's what you said.

23 That's the major departure, not whether to use
24 modeling or clinical evidence, but to go beyond that
25 is that if you model this out, you would say only

00173

1 black men 60 to 69 would value from this diagnostic
2 test and nobody else would do this, or only people
3 that have this income or this characteristic of the
4 tumor or this characteristic of the particular
5 income. The really major breakthrough here is not
6 whether you use modeling or clinical evidence, but
7 what you're really asking is can we move the coverage
8 decision down from we cover a therapy, you know,
9 anyone who has breast cancer, you're covered for a
10 mastectomy if you want, anyone that has breast cancer
11 can get covered for a PET scan if you want it, to a
12 very specific circumstance. That's what you're
13 asking here, that's the question.

14 DR. TUNIS: I just want to say, I think it
15 actually, if I understood it correctly, I think it's
16 slightly that the order is in the reverse, in that
17 coverage policy by Medicare for diagnostic technology
18 particularly, has historically been we cover CAT

19 scans and we don't make a lot of distinctions, they
20 are covered for such and such patients with these
21 characteristics. With a more formal adoption of an
22 evidence based approach, as manifested in recent
23 decisions about PET, we have gotten more specific.
24 PET is covered for colorectal cancer in the setting
25 of a rising CEA, and the tension that this raised was

00174

1 this kind of historical balance of how Medicare used
2 to pay for things to how we have now gone through
3 paying for things on a very specific indication by
4 indication basis, and the additional demands that
5 places on clinical research that proves each
6 indication.

7 So now we're exploring alternatives about
8 are there intelligent defensible evidence based ways
9 of going beyond that. Does that make sense?

10 DR. BROOK: Yeah, but the only thing I
11 wanted to point out, there are certainly intelligible
12 ways to do this at a doctor-patient level. That's
13 why I asked what the motivation was; this is not at

14 the doctor-patient level, this is at the coverage
15 level.

16 DR. FEIGAL: That's right.

17 DR. BROOK: And so what you're actually
18 trying to do is move along the agenda of how, instead
19 of having one criterion for covering CAT scans, you
20 might have 2,000 if you produce a modeling approach,
21 because you will, I know, because we have done this.
22 You might have 2,000 different scenarios of which the
23 modeling will support doing, covering for 33 percent
24 and 50 percent, and it would have to be updated, but
25 that's the road we're going down here. I just wanted

00175

1 to make this explicit.

2 DR. FEIGAL: And let me also make explicit
3 as well that I'm not advocating one route over
4 another, I'm not saying that this is the way I would
5 like this committee to consider that we go. What I'm
6 saying is from the people who were at the meeting
7 when we were thinking about ways to intelligently
8 discuss what the challenges were and what the
9 problems were and what the vagaries are of doing

10 clinical research, how can we approach it in a
11 rational manner, in a balanced manner. We know what
12 the ideal is. We know what we would like every
13 investigator to do in terms of their studies, or
14 every sponsor to do in terms of their studies, and if
15 we had an unlimited supply of resources, personnel
16 and money, which nobody has, including CMS obviously,
17 there wouldn't be any challenge, we would do that.
18 What we're trying to do is balance the ideal with the
19 practical realities.

20 And so what we are trying to think of for
21 CMS is also a philosophical approach. It's not a
22 right or wrong approach, is do we establish a ceiling
23 or do we establish a floor, you know. So these are
24 the types of issues, there is no right or wrong, it's
25 just trying to think how can we move forward together

00176

1 in getting this done.

2 DR. MCNEIL: The question I had, I think
3 may be a little bit of a follow-on to Bob's. I think
4 the last two bullets are self explanatory and the

5 first one is the one on this slide that has the real
6 meat behind it. And the issue there is, and maybe
7 you're going to talk about it in a subsequent slide,
8 but using modeling techniques as an adjunct or a
9 substitute, so the issue there to me following up on
10 what Bob said is are you using, are you proposing
11 that the group agree, because that's what it says,
12 points of agreement, to use modeling techniques to
13 come to the sensitivity and specificity of a
14 particular test for say the detection of disease, and
15 I don't know how you do that, or were they using it
16 to get the sensitivity and specificity of tests for a
17 particular purpose to see if they altered management,
18 or were they using modeling techniques to go the
19 whole nine yards into cost effectiveness and use
20 health outcomes, some kind of quality adjusted life
21 year for a diagnostic test?

22 I think that's quite -- well first of all,
23 I think it's probably impossible and would not be a
24 way we would want to go.

25 DR. FEIGAL: As I said, I'm not an

1 advocate of this, I don't even know if it's possible,
2 but there were many around the room that desired such
3 a model to consider whether or not such a model could
4 be developed. We didn't get into a lot of the
5 details of the inputs, the outputs, the type of data
6 that would need to go in here and how we would
7 validate the model. This was the beginning of a
8 conversation and so I can't give you a lot of
9 details, but certainly Hal, Alan or Sean --

10 DR. SOX: I would suggest that Ellen plow
11 through her transparencies without interruption and
12 then we can come back and kind of go through it a
13 second time, but let's see the whole picture first.

14 DR. FEIGAL: Let me go back to this
15 transparency. We thought about three things from our
16 meeting; there were lots of good discussion, people
17 came from the technology assessment groups, from
18 health care providers, we heard from physicians at
19 research institutions in the field, we heard from
20 diagnostic radiologists, we heard from all the
21 agencies about the guidelines they use for approving

22 products, evidence gathered that we take into account
23 as we're trying to fund research or support research.
24 So all these different elements were discussed at
25 this meeting.

00178

1 There were basically three points of
2 agreement. One was this model that we've just spent
3 a little bit of time discussing. The second is, you
4 know, try to deal with things more down to earth,
5 that we have diagnostic guidelines currently in
6 place, to maybe consider some revisions to those
7 current guidelines might be considered. And then
8 three, I think we all recognize the need to support
9 more high quality studies evaluating the clinical
10 utility of new diagnostic tests. We all agreed that
11 those were three important points.

12 These are just possible next steps just to
13 stimulate discussion. I realize I don't need to
14 stimulate discussion, but it was just to throw some
15 things on the table of possible next steps that could
16 take place. If indeed it was thought worthwhile to
17 think about developing an analytical model, CMS would

18 take the lead in trying to work on the plans for
19 developing a model, for validating the model. For
20 example, some felt that it might be possible to
21 develop models that incorporate existing information
22 on a technology's technical performance, the
23 incidence of various disease specific complications
24 outcomes, other known information, to produce
25 estimates of the likely clinical harms and benefits

00179

1 of an imaging procedure.

2 DR. BROOK: Can I ask you, where are you
3 from, what agency.

4 DR. FEIGAL: National Cancer Institute.

5 DR. BROOK: What I'm really interested in,
6 why is this CMS's responsibility? And I keep coming
7 back to everything you say makes a hell of a lot of
8 sense, the whole workshop makes sense, the
9 recommendations make sense. What I really don't
10 understand is, as far as I know, there is no
11 strategic policy in the NIH to do any of this, and
12 you've got \$14 billion or \$15 billion worth of money,

13 and you have no strategic framework for how to
14 produce new clinical information about anything, as
15 far as I can tell.
16 The bottom line I would ask -- that's on
17 the record. The bottom line that I would ask is why
18 should we turn this into a coverage decision and
19 expect this agency to do it and this panel to do it,
20 as opposed to turn this into a decision of how is the
21 agency going to use the clinical research money it
22 has to produce better information about when and how
23 diagnostics tests or therapy should be used in
24 people. And what I'm really asking is, I'm confused
25 about why is this -- I mean, we could change our

00180

1 guidelines to do all this kind of stuff, that's easy.
2 But I'm really confused what's happening in the
3 government and the NIH level of a policy, or the
4 director of the NIH, why aren't you giving him, or
5 maybe you are, giving this briefing to him about
6 making this happen?

7 DR. FEIGAL: Okay. Let me take a step
8 back. I have been asked to be the spokesperson for

9 this workshop. I didn't propose that CMS do this,
10 CMS actually proposed that they do this, okay?

11 DR. BROOK: With the \$30,000 worth of
12 money it has for research?

13 DR. FEIGAL: No. Let's take a step back,
14 because what I'm trying to do is give you a --

15 DR. SOX: Bob, no more rhetorical
16 questions for the next five minutes, please.

17 DR. FEIGAL: I would be very happy to give
18 you --

19 DR. ALFORD-SMITH: I just want to say, I
20 am disturbed by this. I think this is extremely
21 relevant, I find it quite beneficial, and the way
22 this young woman has been challenged and in my
23 opinion harassed in some ways --

24 DR. BROOK: I apologize.

25 DR. ALFORD-SMITH: -- while she is trying

00181

1 to provide information that is ultimately going to
2 help us in making decisions, and I would ask that we
3 at least respect that.

4 DR. SOX: Go ahead, Ellen.

5 DR. FEIGAL: Yeah. I think that I would
6 be very happy to describe the NIH strategic plan and
7 the NCI strategic plan, but I don't think this body
8 is the appropriate forum to do that. I am perfectly
9 capable of doing that but I don't think it's
10 appropriate. I think that we do have things that
11 we're doing, we do have strategic areas for funding
12 scientific research and for funding clinical studies.
13 What we're trying to do is work with our partner
14 agencies on a common problem, how do we take emerging
15 technology that we think is important for patients
16 and move it into the clinic and get clinical studies
17 and then move it into the marketplace, where it can
18 be disseminated and actually make an impact on the
19 public health.

20 Because my sense of everybody in this room
21 is that what we're all interested in is improving the
22 public health. What we're trying to do is come out
23 of our silos and try to work with our partners
24 because we think it will be beneficial to do things
25 together rather than to be doing things in our own

1 back yard. We think there is a benefit to doing
2 that, and that was sort of the catalyst that brought
3 our different agencies together to work on it in the
4 area of diagnostic imaging, which is how it came to
5 be that we are working diagnostic imaging.

6 So what I'm going to propose to you, and I
7 welcome challenges, I welcome questions, because I
8 think that is a good way to move things forward, so I
9 don't want anybody to feel inhibited by asking
10 questions of me, because believe me, this won't be
11 the first time that difficult or challenging
12 questions have been thrown my way. But I think what
13 I do want to do is to have a productive interaction
14 so that we can work on this collegially to make
15 things go forward.

16 So this is just one possible step, is that
17 we think about is it even feasible to develop an
18 analytical model and what would go into it and how
19 would you really validate it. This is an extremely
20 complex and challenging possible next step but it's

21 just a step that people at the workshop thought was
22 worth discussing in front of this body.

23 Now, the next possible step would be, and

24 I'm only using CMS as an example because frankly,

25 it's not within the mission of the NCI to determine

00183

1 coverage policy, that is within CMS's domain, so

2 we're just sort of working together as partners to

3 figure out the best way to do it. So the next

4 possible next step was for CMS to work with this body

5 to consider allowing different levels of evidence for

6 evaluating diagnostic tests in cancer based upon

7 whether they are high or low instance cancers.

8 Why use that criteria? Well, the reason

9 why we chose that criteria is that it was something

10 that wasn't incredibly subjective, we could tell you

11 the incidence of different cancers, we can tell you

12 how common it is in the population, we can tell you

13 numbers, we can quantitate that. And since high

14 incidence cancers affect a significant proportion of

15 the population, we thought that diagnostic studies in

16 these cancers would have the potential to make a

17 significant impact on the public health. Therefore,
18 we thought it was probably reasonable and also
19 feasible, because numbers of these patients is not
20 rare, it's common, that we could do high quality
21 studies on the common cancers.

22 However, we thought it was impractical to
23 conduct the same rigorous level of studies in the
24 lower incidence cancers. And that's not because we
25 don't think it's important to have evidence, we're

00184

1 just trying to base this on reality, how can we
2 really get this done and do we really want to deny
3 using a useful technology in less common tumors only
4 because we just don't have the infrastructure and the
5 logical makeup to do it in every single cancer, every
6 single indication, so it's trying to balance the
7 science with the practical reality.

8 And then this would obviously involve a
9 lot more discussion, a lot more work, but that was
10 one proposal, is perhaps we could think of some sort
11 of revision to the current guidelines.

12 And then the third issue is the issue that
13 I think is very much in the NCI domain, the NIH
14 domain, the NSF domain, all kinds of different
15 funding agencies, but we need better coordination
16 between researchers, regulators, payers and
17 technology developers to insure the promising
18 diagnostic technologies are adequately evaluated in
19 an efficient and a reliable manner.

20 Just for your background information, the
21 National Cancer Institute has established a whole new
22 program in biomedical imaging. We have established
23 funding for research going everywhere from basic with
24 in vivo molecular and cellular imaging centers to
25 small animal imaging research programs so that we can

00185

1 do some of the preclinical studies that will give us
2 information to take it into humans. We have
3 established and American College of Radiology imaging
4 network to conduct clinical studies using imaging
5 technologies. And then we're also now trying to work
6 with other agencies, with industry, with whoever we
7 need to work with to try and clarify what the

8 pathways are of once you do these clinical studies,
9 how do you take it through the system, what's the
10 type of evidence different agencies want to have. So
11 that when the people are trying to design their
12 studies, they know what's expected, they know the
13 type of information people want to see.

14 And this as we said, requires attention to
15 methods development, to expansion of existing
16 research infrastructure, to funding for such studies,
17 and also strategies for prioritizing research funding
18 in critical areas of uncertainty. So thanks for
19 letting me have a chance to get through what we were
20 trying to do with this workshop, and I guess Hal and
21 Alan are going to add their own comments, having been
22 at the workshop themselves.

23 DR. SOX: We're talking ourselves out of
24 much discussion time here but I would like to hear if
25 Alan wants to comment on the meeting or proposal.

00186

1 DR. GARBBER: Yeah. Maybe I can give a
2 little additional context. I agree with what Ellen

3 said, but I probably approached it from a somewhat
4 different point of view, so I might emphasize a few
5 different things, and maybe this will get at some of
6 Barbara and Bob's questions.

7 The fundamental issue that we have been
8 faced with since we encountered the whole PET
9 question is how much can you generalize when you have
10 good studies for a few indication but not for others.
11 At the workshop we were trying to figure out if our
12 whole framework could accommodate an approach that
13 would let you generalize, but only generalize where
14 appropriate.

15 So the first question is, could you
16 generalize from a study in one tumor type to another,
17 and I think that, although I wouldn't claim there was
18 a uniform consensus, I think the majority of people
19 felt that you could not, you could not go from one
20 tissue type to another, and not necessarily from one
21 tumor size to another. So at the level of something
22 like sensitivity and specificity, there is the
23 feeling that no, you really couldn't generalize.
24 But it was also felt that if you had

25 sensitivity and specificity, and as you know, studies

00187

1 of test accuracy are much easier to come by than
2 studies of effects of tests on health outcomes. If
3 you had sensitivity and specificity for a particular
4 indication, could you then generalize about health
5 outcomes using some other kind of data? And that's
6 what really I believe generated the whole discussion
7 about modeling and I think there was a fairly broad
8 consensus that with appropriate modeling you could
9 take the step from test performance to health
10 outcomes without requiring new studies to be done in
11 every area. And of course this would have to be
12 assessed on a case-by-case base, but the idea is that
13 modeling could play a significant role.

14 The third thing about rare versus common
15 is that we felt that as Ellen said, it's unreasonable
16 to expect extensive studies when you're talking about
17 a cancer that may have an incidence of a thousand
18 cases per year in the U.S. to impose the same
19 standards for that as for a study of colorectal

20 cancer or breast cancer, or prostate cancer. And so
21 the idea was, and I don't think we reached the point
22 of having specific language, but the idea was that we
23 shouldn't put tests for those conditions through the
24 same processes and same evidence criteria that we
25 would for common ones. And we didn't want to lower

00188

1 the standards for the common ones because that's an
2 area where we could get good information and we
3 should encourage people to do what they can to obtain
4 it. So the proper approach might be something like
5 saying, we would use a standard like promising rather
6 than adequate evidence to make decisions about those,
7 and it would be clear that we are not endorsing the
8 evidence at the same level as for common cancers, but
9 we don't think HCFA should impose the same standard
10 in deciding whether to cover.

11 So that was the basic thinking behind the
12 workshop, and I think Ellen's presentation was very
13 accurate.

14 DR. SOX: I'll just comment briefly that
15 we have sort of two extremes. One is to grant

16 coverage for all uses of PET scanning, if it's good
17 for one it's good for everything. On the other hand,
18 we could require empirical studies in every
19 indication, or we can try to find some middle ground
20 between what some might regard as excessive
21 permissiveness and others would certainly regard as
22 being far too rigid. And I think the purpose of this
23 discussion is to try to identify some promising areas
24 to explore this middle ground.

25 And for purposes of discussion, I would

00189

1 like to propose and we'll see just how far it gets,
2 to focus on this proposal that we've made, or that
3 the summary states, which is that we focus on a
4 particular application, namely taking modeling
5 techniques as the basis for trying to figure out the
6 impact of diagnostic tests like PET scanning on rare
7 diseases and explore it, see where it takes us, and
8 learn from it. And that therefore, we try to focus,
9 I propose we focus our discussion on a specific
10 instance so that we could actually go from this

11 meeting to a trial run, presumably using HCFA staff
12 to try to get us off the ground, and then get a
13 report back next time of a couple of examples of
14 trying this modeling approach and seeing where it
15 goes, so we can move ahead in a reasonably timely
16 fashion.

17 I don't think anybody is proposing that we
18 use modeling techniques to estimate test performance.
19 What I think we're talking about is modeling
20 techniques to estimate the impact of diagnostic test
21 performance on health outcomes, basically using the
22 model that we've already got. So Barbara, I think
23 you had your hand up first, and then John.

24 DR. MCNEIL: I'm glad to hear you say
25 that, Hal, because I think your remarks aren't quite

00190

1 equal to what is in the summary here and I didn't
2 quite get that from Ellen's talk. It would seem to
3 me that at the very least for high volume tumors,
4 whatever that means, high incidence, whatever, we
5 absolutely positively have to have critical data at
6 the first step of the process. There is no way we

7 can model sensitivity and specificity, it just can't
8 be done. So I think that should be put forth as a
9 given in paragraph 1. We never said we were going to
10 model sensitivity and specificity, and we want to get
11 clinical studies to do that.

12 The issue is therefore twofold. The first
13 of those twofold is, do we think we can take the
14 sensitivity and specificity data that we have for
15 high volume tumors and then somehow or other with
16 some model, and I don't know what model means in this
17 circumstance, translate those to low incidence
18 tumors. No?

19 DR. GARBER: That was not the intent.

20 DR. MCNEIL: Well, okay. Then the other
21 one would be to say to take the information we have
22 on high volume tumors on sensitivity and specificity,
23 and then to roll out a full model that would end up
24 with something like cost effectiveness, or cost per
25 quality adjusted life year.

00191

1 DR. GARBER: No, just effect on outcomes.

2 DR. MCNEIL: So just the denominator,
3 fine. So to take the initial data for the high
4 volume tumors or for the low volume tumors? Because
5 I could imagine if you have a matrix and you can fill
6 in the cells in several different ways, and this is
7 what I don't understand.

8 DR. GARBER: Could I explain what I think
9 was intended? This could, you may or may not think
10 this is a reasonable way to go, but the idea is that
11 modeling could be used broadly, not just high volume
12 versus low volume, to link test accuracy data to
13 final health outcomes. And there could be, we didn't
14 delve into what types of information you would need
15 to develop those links, but obviously it would be
16 different in different clinical situations.
17 That's really a separate question from the
18 high versus low volume. In other words, even for
19 high volume tumors, we were not saying you would
20 necessarily have to have randomized trials to look at
21 effects on mortality and so on from using the
22 diagnostic tests, we would use modeling to link
23 accuracy. But the standards even for test accuracy

24 might be different for low volume than for high
25 volume tumors. The expectations we have about study

00192

1 design, sample size and so on would obviously be
2 different for a high volume than for a low volume
3 tumor.

4 There was never ever any idea that you
5 would model sensitivity and specificity. That has to
6 be data from direct measurements.

7 DR. SOX: But you would model
8 consequences.

9 DR. GARBBER: Yeah, you would model
10 consequences. I mean, one of the questions is, in
11 every situation you want to know for example if you
12 change the probability of disease somewhat by using
13 the test, is it going to actually under optimal
14 circumstances affect management or change outcomes
15 and if the answer is no, within the realm of
16 sensitivity and specificity you see in the data, the
17 answer is no, then the test is not useful. And
18 conversely, it might be very useful, and that's how

19 modeling can be helpful.

20 DR. MCNEIL: So would the modeling here,
21 Alan, be modeling -- so we've got the sensitivity and
22 specificity for whatever the tumor is, and in the
23 past this group has said if the sensitivity and
24 specificity look like they will improve health
25 outcomes in the way we talked about today, perhaps

00193

1 just by changing management so that you upstage or
2 you downstage, that's enough. This would go beyond
3 that?

4 DR. GARBER: Well, you know, the panels
5 have to decide what's adequate evidence of health
6 benefit and I don't think we can write that into any
7 set of guidelines. But the idea is that health
8 outcome has to be improved. Now if they think that a
9 change in management is an adequate proxy, if they
10 are willing to believe that a change in management
11 will lead to a change in health outcomes, that
12 answers the problem, that's all the model needs to
13 do. Our expectation though, is that usually if
14 you're going to model the change in management you

15 should go all the way to modeling effects on final
16 outcomes, but that's really for the panels to
17 determine in my opinion.

18 DR. TUNIS: I just wanted to -- Alan, when
19 you say we never anticipated or suggested modeling
20 sensitivity or specificity, I just wanted to make
21 sure that you know, one of our intentions was to
22 explore the possibility that you could use
23 sensitivity and specificity information that you
24 might have gotten from a study on initial staging of
25 breast cancer, and use that same sensitivity and

00194

1 specificity information in looking at the clinical
2 utility of monitoring response to therapy for breast
3 cancer. And I just want to make sure whether you
4 have, do or don't have misgivings about that kind of
5 extrapolation, where you haven't done a new clinical
6 study looking specifically at sensitivity and
7 specificity in a monitoring study as opposed to being
8 able to borrow it from a clinical study you did on
9 initial staging.

10 DR. GARBER: Well, this is really a good
11 question, and you know, I don't think the Executive
12 Committee or any other group can come up with a set
13 of rules that can be directly applied in every
14 situation. But we had a discussion like that at the
15 meeting which I'm sure is why Sean was bringing it
16 up, and I think we agreed that you couldn't
17 extrapolate from one tumor type to another. It's
18 maybe less clear if you can, if results for primary
19 tumor would apply also to recurrent tumor, if the
20 site matters, if the size matters, but there are
21 questions about that, and there will be at some level
22 no matter what we say here, there is going to have to
23 be a judgment call.

24 If it's in the axilla is it going to, can
25 you assume the same sensitivity and specificity in

00195

1 the abdomen or the lung or something, and there we
2 might have to deal with it on a case-by-case basis.
3 But in discussion, there seemed to be a lot of
4 skepticism about generalizing from one site to
5 another and from one indication to another even for

6 the same tumor type because for example, the
7 metabolic activity in a recurrent tumor might not be
8 the same as in the original primary, so you wouldn't
9 necessarily expect PET to have the same sensitivity
10 in both situations. So, I don't think we can get to
11 that level of detail but clearly there will have to
12 be a discussion about whether you can extrapolate
13 from one study to a slightly different clinical
14 setting.

15 DR. SOX: Let's see, Daisy.

16 DR. ALFORD-SMITH: I didn't have one.

17 DR. SOX: I'm sorry, Leslie.

18 DR. FRANCIS: As I understand it, all that
19 we're being asked to look at now is does it make
20 sense to explore the possibility of developing models
21 sometimes, either to supplement or to replace the
22 wonderful randomized clinical trial which we're not
23 going to have all the time, right? And the answer to
24 that seems really easy, of course. What I don't
25 think we can really talk about here is the adequacy

1 of any particular model which we're of course always
2 going to have to talk about anytime there is a
3 suggestion that a model ought to substitute for the
4 actual clinical trial. Some models will be good
5 models and some models won't be good models, and
6 that's going to have to be discussed.

7 Now I don't know whether the group got
8 into some more general guidelines about when models
9 are likely to be good, or whether all they did, what
10 I heard you talking about was that there are
11 sometimes when we have antecedent reason to think
12 that we're not going to have the randomized clinical
13 trials, so we would make people wait too long or wait
14 forever if we insisted on that, so those are the
15 areas where you are going to want to really start
16 looking for models because we're not going to get the
17 -- that's why the, it's not that you think models are
18 necessarily likely to be better with low incidence
19 cancers, it's that you think that we're more likely
20 to have to rely on them if we are going to do
21 anything at all because we are not going to have the
22 data from the study.

23 DR. FEIGAL: What I'm getting is the issue
24 of sort of the matrix approach where you have the
25 cancer and you have an indication, and you have to

00197

1 have the data in each box, and what I'm saying is
2 some technologies, as you know, the process that it's
3 measuring -- and we're getting into obviously
4 nonanatomic imaging. There's going to be functional
5 imaging, there's going to be imaging based on
6 molecular characteristics of tumors that are going to
7 probably change how we characterize tumors, how we
8 classify them even, and these processes are going to
9 go across tumors, these molecular characteristics
10 that we're looking at. So all I'm saying is that we
11 have to think creatively, that our standard
12 frameworks may not hold for this new era that we're
13 going into, and it would be nice to be prepared for
14 that new era by thinking about how we are going to
15 evaluate those types of technologies.

16 But to answer your specific question about
17 the model, it may be we have some information about

18 the avidity of an imaging agent in different tissues,
19 you know, in breast tissue and liver, in tumor versus
20 normal, and is there a way to use that information in
21 deciding whether or not that imaging modality might
22 be useful. So it's to go beyond the traditional
23 clinical study and think about all the different
24 types of studies you might do that might provide you
25 with useful information in making your decision.

00198

1 It's a very hard issue to really get your
2 hands around and it's a very challenging issue to
3 think about how you would really approach it, but
4 it's just trying to tell you, you may have certain
5 elements of information but it may not be the euboxic
6 type or easy to look at, that may not be available.

7 DR. SOX: Next, I think John has been
8 waiting.

9 DR. FERGUSON: Are there any examples of
10 modeling being predictive of outcomes in the
11 diagnostic field, are there some?

12 DR. GARBBER: You mean where it has been
13 validated?

14 DR. FERGUSON: Where it has been

15 validated.

16 DR. MCNEIL: There aren't too many good

17 models out there, are there, Alan? There's one and I

18 don't know if it -- I mean, that a good example to

19 use as the point, because of a situation where the

20 impact of a particular diagnostic on therapy is quite

21 clear-cut and the impact of therapy on outcomes is

22 kind of like penicillin, so I don't think anybody

23 would think it necessary.

24 MS. RICHNER: There have been several

25 modeling examples in IVIS and other technologies, but

00199

1 I mean, that's not cancer. Is that kind of what

2 you're looking at in terms of what has been done

3 before?

4 DR. GARBER: No. The question is

5 validating diagnostic tests, I think it John's

6 question.

7 DR. FERGUSON: I just wondered if there

8 was an example.

9 DR. GARBER: Have the models been
10 validated against randomized trials, and if you look
11 at the whole group of studies, they are almost all
12 therapeutic studies.

13 DR. MCNEIL: Right, that's the problem.
14 And the problem there is the fact that you can't
15 match up, if you're doing a decision analysis and
16 every single node you have to know, particularly for
17 cancer, you would have to know the impact of a false
18 positive and a false negative decision, and the
19 clinical trial data --

20 DR. SOX: Yeah, it might be doable for
21 screening tests where you have randomized trials of
22 breast cancer that allow you to make inferences about
23 the impact on longevity, but I don't know that
24 anybody has actually done that.

25 So let's go on. Bob.

00200

1 DR. BROOK: I would just like to put a
2 comment on the table that I agree with the thought
3 behind this, but I'm not sure where the proper place
4 to use it is. Let me go back to the beginning.

5 There are three ways that you could
6 produce information. One is what we've labeled
7 empirical science, one is modeling or analytic
8 techniques, and one is sophisticated consensus and
9 clinical judgments. All three have a place in trying
10 to figure out what to do with a patient and when to
11 make a coverage decision.

12 We have done this in multiple different
13 ways and have actually done a lot of validity studies
14 on some of this stuff. If you take a diagnostic test
15 like colonoscopy and ask the question of how often it
16 should be done, how frequently, on whom it should be
17 done, when it should be done, you wind up with
18 thousands of possible scenarios that this can be used
19 on, that the individual doctor and patient need to
20 make a decision of what to do.

21 We've tried to work with David Eddy about
22 how you model some of this out at a higher level, how
23 do you do some of this modeling to figure out how to
24 use the current data. Why I was a little cynical is
25 that we have been stuck with that nobody really wants

1 to put together the kind of detailed sophisticated
2 observational longitudinal databases that would allow
3 you to do some of this work. What's obvious from the
4 work, the studies that have been reported here and
5 the ones that have been referred to us, is I'm not
6 sure modeling will help us much because the data is
7 so deficient to go forward with. And what I am
8 suggesting, or what I wanted to suggest is that we do
9 some push back and we really do ask the NIH the
10 question that HCFA is going to be faced with making
11 coverage, or CMS, coverage decisions. We're going to
12 have scarcer resources in the future given all of
13 these thousands of things. There are a whole slew of
14 proposals on the table of what needs to be done in
15 terms of long-term high quality observational
16 databases that will have sufficient data in that they
17 could be used in conjunction with randomized
18 controlled trials to produce the input to models that
19 would help up us make all of these decisions from the
20 patient-doctor relationship to the coverage decision.
21 There is no coordinated federal policy on

22 figuring out what to do there. In Washington in two
23 weeks, this group that Kantor has put together under
24 the aegis of AHRQ is going to meet about health
25 information issues, and the same sort of questions

00202

1 are being raised. That's all I'm saying.

2 In terms of this, I would argue let's try
3 it, I would argue that in most decisions that have
4 come our way at this moment, the data will not be
5 sufficient to help us much with the modeling, and
6 that we will have to ask experts to provide the
7 estimates of the points that need to be put into
8 models. That's where we got stuck. You break down
9 the way you use experts. You can't find the real
10 data and you would have to have experts extrapolate
11 it, just like we were trying to do around the table,
12 which is fine. In a formal model that may be very
13 useful, and we ought to try it.

14 I would also call your attention to this
15 guy's work with the NIH consensus conferences. He
16 tried modeling and it was a disaster, he probably

17 repressed it, but Parker came down to model the whole
18 use of estrogens for the NIH consensus conference in
19 terms of the use of estrogens and risks and benefits
20 to a group of esteemed clinicians in one of the
21 famous NIH conferences, and I won't go beyond that
22 because we're on the record here, but it was a
23 two-day tour de force or more than that, of trying to
24 figure out how to use formal modeling to come up with
25 a consensus conference judgment. It may not be a

00203

1 coverage judgment but it's similar, in terms of what
2 to do.

3 So I'm all for this, I'm all for it, but I
4 think the partnership is a two-way partnership here.
5 The NIH is going to need to change the way it
6 produces the raw clinical information to be used if
7 we are going to be able to provide sufficient model
8 techniques to do this.

9 DR. SOX: But CMS also has some
10 obligations to organize data sets that could serve
11 this function if we're really going to do it.

12 DR. BROOK: They would need new, I believe

13 it's the case that they would need new monies and
14 legislative authority. I mean, I wasn't being
15 facetious. I do not believe this can be done on the
16 research and development budgets that CMS has
17 traditionally gotten. We can propose that CMS go
18 back into the OMB in the budgeting process to get the
19 funds to do that, but given their budget, Hal, it's
20 hard for me to believe that it's realistic to suggest
21 that this is an option.

22 DR. SOX: I was really referring not so
23 much having an army of decision modelists so much as
24 making sure that HCFA data sets would serve the
25 purpose that you've described for providing numbers

00204

1 that can be used for decision model work.

2 DR. BROOK: One of the options would be to
3 switch the pro program around to make its major
4 function to collect these kind of clinical
5 observation data sets. I mean, there's lot of ways,
6 but we're going beyond, I fear we're going beyond our
7 mission here in terms of what we want to do. The

8 fundamental thing is to reorient. What we're running
9 into is that the government has not had a serious
10 analytical framework of how it's going to invest
11 federal money and providing new clinical information
12 so that it will be useful to both people that have to
13 decide whether to pay for the services and people
14 that have to decide what to do between the doctor and
15 patient. There is no formal policy there, and
16 anything we can do to push that along, if we do the
17 models and find that they are not useful, let's do
18 it, so I would vote to do this.

19 DR. SOX: I would like people, as we're
20 going to have to wrap this up in the next five to
21 seven minutes, so if you could focus your questions
22 on why we shouldn't do this or sort of important
23 caveats about what to be careful when we go ahead and
24 are doing it, because I am sensing a reasonable
25 amount of momentum that we should get our feet wet

00205

1 and try it out. So I think, Barbara.

2 DR. MCNEIL: I don't want to slow down the
3 train, but I still don't know what this is. It seems

4 really vague for a group that has been knee deep in
5 precision for so long and what I would prefer to see
6 before we make a decision to go forward is that
7 somebody, and it may be the people who were at the
8 conference who are in this room, give me a much
9 better understanding of the scope of modeling in a
10 way that I can understand. Because when we talk
11 about modeling outcomes, I just don't know -- I know
12 what it means, I can translate the words, but
13 operationally I just don't get it. So personally I
14 can't vote for this unless I have more specificity to
15 the scope of modeling.

16 DR. SOX: Alan, I think you're next, and
17 then Randel.

18 DR. GARBER: My comment touches on
19 Barbara's point about getting specifics here, and I
20 just wanted to turn to the issue of how the
21 guidelines that we now have would need to be changed,
22 and I actually didn't see this as a call for
23 significant change in the guidelines because we
24 actually already have language in there that

25 basically says do modeling.

00206

1 The area where there is a change, though,
2 is on the rare disease, and we had some language but
3 it was very limited, and what we might want to
4 discuss in particular is do we want to say that there
5 would be a separate category for rare diseases, or
6 rare circumstances I should say, to on one hand say
7 that we can't use the usual criteria but on the other
8 hand say that some standards should apply and to try
9 to refine them. That would be change, so the
10 question is whether the Executive Committee feels
11 that this is something for which a writing
12 subcommittee again should draft some language and
13 then bring it to the Executive Committee or not.

14 DR. SOX: I would like to say yes, that we
15 will see how we will feel after we have tried to do
16 this for a few examples and get our feet wet to see
17 whether it's feasible.

18 DR. GARBBER: In terms of linking to
19 outcomes, by the way, I presented a study that's done
20 by a colleague of mine at the workshop that

21 illustrated what we had in mind and you know, once
22 that's available in a form that can be circulated, I
23 think we could pull lots of examples actually, to
24 show what we would mean by the modeling effort.

25 DR. SOX: In a way there is an example in

00207

1 our own guidelines showing post-test probabilities
2 and then talking about what threshold you might
3 consider to be a reasonable one for doing nothing and
4 therefore changing management as a result of a
5 negative test. So, do you want to come right back,
6 Barbara?

7 DR. MCNEIL: I still don't get it, Hal, to
8 be perfectly honest. Either we're tweaking slightly
9 the written guidelines in the manner that Alan said,
10 or we really are embarking on something different.
11 And if it's something different than tweaking the
12 rare disease guidelines --

13 DR. BROOK: The only thing different that
14 we're doing is we're saying that we would like to see
15 if not a parallel process, but the next time a

16 question or some other question comes by, that the
17 panel does something more than just sit around in the
18 room and look at the evidence tables, that there
19 might be a modeling process that is done prior to
20 that meeting, which we've already agreed would be
21 useful, that might help make the process a more
22 rational decision, and we don't know yet and so we
23 have to figure out the issue, and that's all we're
24 saying. There has been no process that we've done,
25 that we've done what John did 20 years ago in the NIH

00208

1 consensus conference. There have been 20 years that
2 passed, we've got two of the best modelers in the
3 world sitting across the table, let's take a whack at
4 seeing whether they can be helpful in making this
5 process better.

6 DR. MCNEIL: If that's what it is, let's
7 try a --

8 DR. BROOK: Of course it is.

9 DR. MCNEIL: That's not what I heard. I
10 heard something grander than that, but that's fine.

11 DR. SOX: Barbara, I think it could be the

12 beginning of something considerably grander and as I
13 proposed in my earlier remarks, let's take this
14 specific instance and try to see if we can take data
15 from a common tumor and apply it to a less common
16 tumor and see what we learn from that by way of
17 advice to us as about to how to proceed, as an
18 exercise. But later on, if we, you know, a year from
19 now we might say hey, this is really helping us, we
20 could do it in some other instances that aren't so
21 rare tumors.

22 I think it's really important to recognize
23 that we shouldn't let the perfect be the enemy of the
24 good in the process of technology evaluation, because
25 otherwise we may never get off the ground.

00209

1 MS. RICHNER: When you say something
2 grander, what do you mean? I mean, are you
3 essentially saying that if we have a technology like
4 PET that was referred to us, then we would take that
5 breast cancer PET indication, you would send it off
6 to whoever, you or Alan, to model that, and then come

7 back to us then with the answer, with the synthesis
8 of the literature? How is this going to work? I
9 mean, this is like a major deal.

10 DR. BROOK: I think we should not make it
11 a major deal. I think we should vote on something
12 like we can give the chair the discretion, we would
13 like to suggest that we follow up on this report and
14 that when the opportunity comes around, that we
15 actively try to seek the resources to figure out
16 whether analytical and modeling work will help the
17 panels do their work better, and they report back to
18 us so we can learn from this and change our process.
19 That's all that's being asked.

20 DR. SOX: So if anybody objects to us
21 taking this step, now is the time to do it.

22 DR. GARBBER: Hal, I just wanted to clarify
23 whether I understood you correctly because I didn't
24 quite have the same understanding about extrapolating
25 from common to rare tumors. I think that there was

00210

1 consensus that you could not extrapolate say from
2 colorectal cancer to chondrosarcoma, about the

3 accuracy of the test, and so the intent is not to say
4 that you would model from a common tumor to rare one
5 in that sense. I think the main role of modeling is
6 to close the gap, and that's why it's not really
7 changed in our guidelines, to close the gap from test
8 accuracy data which you often have, to health
9 outcomes where you rarely have direct measures. And
10 we are not talking about extrapolating from one tumor
11 type to another, at least when it comes to PET
12 scanning, because all of the people at the conference
13 agreed that you could not infer that the sensitivity
14 and specificity in one cell type confirms results for
15 another.

16 DR. BROOK: I think the issue here is that
17 the process that we would like to follow, if we
18 agree, is one where we go through our normal process
19 as we're going through it, and we begin to supplement
20 it with questions. Hal's question may be perfectly
21 legitimate, you may be right. We will never answer
22 this if we don't actually try out some things and see
23 how it works. And the function of the group to me,

24 since we have not other function, to sort of try to
25 figure out the combination between how these things

00211

1 work and how it changes the process, and we'll learn
2 as we go along.

3 And I'm not scared about -- I mean, you've
4 got the world's expertise on this committee, we might
5 as well try it out. All we have to is convince the
6 CMS people to provide the money to do it.

7 DR. SOX: So what Bob is saying, this is
8 an opportunity for leadership.

9 DR. BROOK: This is an opportunity to do
10 some out of the box work. You don't need to worry
11 about the results yet, Barbara, until after we see
12 what they are.

13 DR. MCNEIL: No, I don't care what the
14 results show, Bob. I just want to make sure I
15 understand what we're doing, I really do want to make
16 sure I absolutely understand.

17 DR. BROOK: Hal wants to extrapolate
18 common data to data; let's see if we can do that.
19 Alan wants to extrapolate diagnostic sensitivity to

20 health outcomes data. Some other person may want to
21 extrapolate from whites to blacks, from young to the
22 old. There are all sorts of uses for modeling that
23 we have not, we don't do.

24 DR. MCNEIL: So my question is, I

25 understand that clearly, I understand the scope of

00212

1 potential modeling activities. I just want to know
2 what it is we're voting on, and I can envision two
3 things we're voting on right now. One is, we are
4 putting up a little flag that's a trial balloon, and
5 the flag might be, let's take the PET example that we
6 talked about where we voted not unanimously in our
7 subcommittee for PET as an adjunct to. Now, are we
8 saying that that is a just terrific example to take
9 those data and model them out and find out what the
10 impact of outcomes is, and is that a trial that we
11 want to explore? That's one possibility.

12 Or, are we saying let's take Alzheimer's

13 disease, which is coming up in January, let's look at
14 that and not look at it within the framework that we

15 looked at PET but rather look at the use of PET and
16 SPECT on outcomes in Alzheimer's disease. Or are we
17 saying in this vote, this is just a vote now, because
18 this is the next step.

19 Is the next step a taxonomy of the kinds
20 of things that we might do. I used to model in my
21 day so I have nothing against modeling. I think I
22 know the limitations pretty well. I just want to
23 know what it is we're voting for, and I don't.

24 DR. SOX: Time is late and I would like to
25 suggest that the committee basically say to Sean, you

00213

1 know, come up with something by our next meeting, get
2 the people on the committee involved who have real
3 expertise to help define a good question that we all
4 agree that if we got an answer, we could take it
5 reasonably seriously. And so I'm sure he will be
6 scheduling a conference call that you would be
7 involved in, Barbara.

8 I think we need kind of a push in that
9 direction from the committee and then I'm sure that
10 Sean and others will use us to try to make sure that

11 it's not a waste of time. Would that feel okay?

12 DR. MCNEIL: That would be fine with me

13 because I would feel like I'm getting more

14 information before making a decision.

15 DR. BROOK: Can we move that?

16 DR. SOX: Somebody can, I can't.

17 DR. BROOK: So move.

18 DR. MCNEIL: You moved it, I'll second.

19 DR. SOX: Wade, you have the opportunity

20 for comment.

21 DR. AUBRY: I just want to make a brief

22 comment. First of all, I think there are other

23 examples of Medicare coverage in which diagnostic

24 tests have been considered per indication. I think

25 magnetic resonance angiography us an example of that.

00214

1 The other point is I agree in general with

2 the discussion. I would like to see this developed

3 further. One concern I have is that I see that there

4 may be some overlap between modeling, particularly

5 from sensitivity and specificity to outcomes, and

6 forecasting, which would be based on determination of
7 outcomes based on estimates by experts, and there are
8 different ways of forecasting, but it seems to me
9 that we don't really want to be doing forecasting,
10 and I see that as somewhat of a pitfall.

11 And I also would like to say that I think
12 the greatest need that I perceive is in the rare
13 tumor area or in the rare disease, in which you are
14 never going to have enough data. And this came up at
15 our Blue Cross/Blue Shield TEC panel all the time,
16 particularly for therapeutics, say for childhood
17 cancer is a very good example of that. So I see that
18 as a greater need than for more common diseases in
19 which we really should, I think, expect data and good
20 studies.

21 DR. SOX: Anything else before we come to
22 the end of this discussion?

23 DR. GARBER: Well, I think on that point,
24 Hal, your proposal has to do with modeling, and I
25 think we ought to keep the issue of the rare diseases

00215

1 separate. I reiterate what I said before, modeling I

2 don't think requires any significant change in our
3 existing document. The rare diseases potentially
4 does. Now I don't if Sean wants to approach this as
5 one package or to separate those issues, but to my
6 mind anyway, and I think this reflects the discussion
7 at the meeting, the rare diseases was not primarily
8 an issue of modeling, it's would you then use
9 different standards of evidence. So I think it's
10 very important for us to keep these separate, and I
11 would just like to maybe add as a friendly amendment
12 to your proposal that we explore having some language
13 to deal with the rare conditions in our guidelines
14 document.

15 DR. SOX: Okay. Good. Anything else? In
16 that case, we are going to move on to a series of
17 relatively short items that come under the heading of
18 other MCAC business, so Sean, that seems to be your
19 cue.

20 DR. TUNIS: While I'm sure everyone is now
21 running somewhat out of steam, which is probably
22 good, so I just wanted to raise a couple of issues,

23 and I don't think we will go all the way to 3:30, or
24 hopefully not.

25 The first issue is, several MCAC members

00216

1 have brought to my attention that they have been
2 receiving some communication from technology
3 advocates around particular issues, and I just wanted
4 to make sure everyone understands that you are under
5 no obligation as an MCAC member to take any
6 particular phone calls or respond to any particular
7 letters promoting a particular position on your part.
8 You are only special government employees when you're
9 here, as far as I know, and so you are certainly
10 welcome to take those phone calls and talk to those
11 folks, but you are under no obligation to do so.
12 That obviously falls -- and one of the
13 things you can certainly do when folks want to
14 provide you some information on a particular issue
15 that's before you is, you know, advise them to
16 provide the information to CMS and we will be sure
17 that the MCAC committee members all get the
18 information if it's going to be relevant to the

19 decision. You know, it to some degree borders on a
20 violation of our open public process to be having
21 individuals have information that not the entire
22 committee or the public doesn't have access to.

23 MS. RICHNER: Well, when you go back to
24 the charter and how this all originated, one of the
25 ways you can easily facilitate this is simply say go

00217

1 to your industry representative if that's the case,
2 if it's an industry person that's coming to you with
3 information. Then the industry rep has the
4 responsibility of coming to the committee with the
5 information. Then the other possibility is to just
6 simply refer that person to CMS, CMS then is supposed
7 to disseminate the information among all the
8 committee members. That's at least the process that
9 the industry is supposed to observe.

10 DR. TUNIS: Right, and that generally --
11 again, you're allowed to talk to anyone you want to,
12 but generally again, you are under no obligation and
13 the thing you should do is just refer them back

14 through us.

15 DR. BROOK: That's very different from

16 what you told us when we began.

17 DR. TUNIS: From what I told you?

18 DR. BROOK: We were explicitly instructed

19 not to talk to people while we were involved in

20 making those decisions, and to refer those --

21 remember, if we had the conversations, that two of us

22 would be on the phone at a time.

23 DR. GARBER: I think that predated Sean.

24 DR. BROOK: I know it predated Sean, but

25 it was part of the process. It predated you. So now

00218

1 we can talk to anyone, but just be careful is the

2 rule?

3 DR. TUNIS: Well, no. I'm just saying

4 that we can't make rules about, you all have lives

5 outside of here and in many cases they overlap some

6 of the issues that you're dealing with. So you know,

7 I can't tell Frank Papatheofanis never to talk to

8 another PET manufacturer, but he's not obligated to

9 talk to anyone he doesn't feel like talking to. So

10 that's the main thing.

11 On the issue, of really the only topic so
12 far that we are fairly sure, well, we know is going
13 to a panel, will be the neuroimaging for suspected
14 dementia which is, as I mentioned earlier, going
15 January 10th to the Diagnostic Imaging panel.

16 DR. FERGUSON: Is that neuroimaging or
17 just PET?

18 DR. TUNIS: Well, I don't know if Deb
19 Zarin is here, but I believe it's all neuroimaging,
20 and in fact that is being done partly as you all were
21 involved in discussing this at your last meeting, but
22 that is being done in part as a modeling exercise.
23 And we are trying to take on functional MRI, SPECT,
24 as well as CT and MRI structural imaging. We're just
25 looking for other ways to get in trouble and we

00219

1 thought this one would accomplish it.

2 (Laughter.)

3 The PET for myocardial viability, we had

4 intended to also go to a panel and we're discussing

5 that internally, and it's not 100 percent clear that
6 would go to a panel, although it probably will.

7 That sort of gets into a couple of other
8 broader issues that I would just like to have your
9 input on, both of these. One relates to some
10 additional discussion on criteria by which CMS
11 decides to refer things to the panel. We have had
12 some general criteria which basically has gone to the
13 tune of complex and/or controversial issues, which
14 gives us a whole lot of latitude. But while we are
15 in the middle of writing a new Federal Register
16 notice describing our process, it would be
17 interesting to hear your input on whether that can be
18 fleshed out a bit more, and so we will get to that.

19 The other thing I wanted to just run by
20 you is some thoughts that we've had internally about
21 reconfiguring the MCAC panels in terms of number and
22 composition, and these ideas are at a very early
23 stage and we wanted to make sure we got your input at
24 and early point.

25 So maybe then, let me just sort of throw

1 that out and we can talk about the two things
2 together, which is basically we're thinking of
3 collapsing the six panels into three panels, partly
4 from a perspective of tractability, partly because of
5 the infrequency with which some of the panels have
6 been meeting. And it would be, I don't have the
7 exact list here but there's some matching in terms of
8 DME would go into the Medical Devices panel, or they
9 would be merged. I believe we were thinking of
10 merging the Drugs, Biologics and Therapeutics with
11 the Medical and Surgical panel, and then I believe
12 the Diagnostic Imaging and the Laboratory into sort
13 of a diagnostics panel.

14 What we would do with the membership is
15 that we would keep both of the chairs and the vice
16 chairs, so we would actually have co-chairs and
17 co-vice chairs for each of these panels; we don't
18 want to kick out any chairs and vice chairs. But for
19 any given meeting of a panel, there would only be one
20 chair and one vice chair at a given panel meeting.
21 For all other panel meetings, there would be no

22 standing assignments of panel members to any of these
23 panels; the rest of the MCAC would be a large
24 undifferentiated pool of experts which we would try
25 to balance somewhat according to the distribution of

00221

1 issues that tend to come before use, so probably more
2 cardiologists than herpetologists, and --
3 hepatologists.

4 (Laughter.)

5 Yeah, we have very few snake related
6 issues.

7 And then for whatever topic then that
8 comes up that we decided will be referred to a panel,
9 we will actually constitute that panel by
10 overweighting it with the people who have an
11 expertise in that clinical area. So that's
12 basically -- you all would still be the Executive
13 Committee, maintain your chair and vice chair
14 assigned to your panels, although they would be these
15 reconstituted panels, and then a big pool of MCAC
16 members, who we would call upon and form a 15-member
17 panel for each given meeting.

18 And then the only other thing I would say
19 is that we are also intending to increase the number
20 of formally trained methodologists on any given
21 panel, so probably have somewhere between two and
22 four card carrying methodologists at each panel
23 meeting, as well as you know, four to six people with
24 clinical experience with an active clinical practice
25 related to the area that we're addressing, and then

00222

1 fill out the panel with other folks.

2 And I think the only thing that I missed
3 is that the consumer and industry representatives
4 would also stay with their panels as standing members
5 and would not be part of this floating pool so to
6 speak.

7 DR. FRANCIS: Is there any risk that you
8 might be perceived as having a bias in how you select
9 panels if it's so much more open.

10 DR. TUNIS: We don't get generally accused
11 of that, no.

12 DR. FRANCIS: Well, if it's a huge pool of

13 everybody on the MCAC, rather than everybody on
14 Drugs, Biologics and Therapeutics, I just want to
15 raise that because that's the outside public
16 perception or concern.

17 DR. TUNIS: I think that's a concern and a
18 potential drawback to this approach, and you know, it
19 would probably obligate us to come up with some
20 explicit process for how we identify which panel
21 members will actually go on a panel, although I hoped
22 that we could accomplish this by virtue of selecting,
23 you know, MCAC members fairly well, and those with
24 frank conflicts of interest wouldn't be part of the
25 panel and we would be okay, but presumably it would

00223

1 be controversial too.

2 DR. SOX: There is another concern with
3 drawing randomly from a pool of experts and that is
4 you won't evolve the group skills of a panel to the
5 point where they work efficiently throughout the
6 whole day. We all know there's a tendency for people
7 who don't know each other to have a little bit of
8 difficulty really meshing at the beginning of a

9 meeting. Sometimes the whole morning goes by with
10 people just kind of trying to establish themselves as
11 individuals, and one of the advantages of this group
12 is that we've worked together a lot and although it
13 might not appear that way to outside people, the fact
14 is that we really hum, even though it looks a little
15 disorganized.

16 DR. TUNIS: Yeah, I think to some degree
17 what that's going to be counterbalanced by, that's
18 another downside, but what seems to be a limitation
19 of some of the panel meetings we have had are the
20 small number of folks who have real content expertise
21 in that area who have been able to really engage the
22 meat of the content of the issue. We've tried to fix
23 that a little bit by adding some nonvoting experts to
24 a panel, but we've come to rely tremendously on the
25 folks who happen to show up who have, you know,

00224

1 content expertise, and we really use them, possibly
2 more extensively than we should, given that they're
3 usually there for a reason, which is you know, to

4 support the technology.

5 DR. AUBRY: I was just going to make that
6 point. It seems to me that you have already moved
7 some people around on panels, had temporary voting
8 members or guests to round out panels, so in some
9 sense you're doing some of this already. So I don't
10 have any problem with the idea.

11 I do think what's probably going to happen
12 as a practical matter is that there are some people
13 who are probably going to serve very rarely, who
14 won't have gone to a meeting for a year or two or
15 something, but some of that is happening now.

16 DR. SOX: Well, the only comment I would
17 like to make is defining of questions, and you
18 probably made a slip when you said you would pull
19 this group of people together just for the meeting.
20 In fact, I'm sure what you meant was that you are
21 going to pull them together for the whole assignment,
22 and we've talked today a fair amount about the panel
23 basically deciding the questions were all wrong, not
24 having them buy into the questions. You have been
25 engaging the panel chairs and vice chairs in trying

1 to formulate those questions, and I just urge you to
2 adopt a process whereby all the members of the
3 committee are brought in at an early stage, either by
4 having two meetings of the committee, the first of
5 which is to get the problem scoped out and define the
6 questions and talk it through, or at the very least
7 have a conference call at which time you do that, to
8 minimize the chance that you're going to have more of
9 this just throw out the original questions and
10 improvise on the spot during the meeting, which I
11 don't think is such a good idea.

12 Bob.

13 DR. BROOK: I have one other question.

14 I'm concerned with the process of getting together
15 that minimizes making wrong decisions, and the way we
16 have done this process and the way you're planning on
17 doing it is to emphasize more and more getting over
18 this evidence hurdle. We discussed at this group
19 recommendations where things have been approved for
20 coverage and not things that haven't been approved.

21 I mean, it would be interesting to go through the
22 actual time we spent to see if indeed our group
23 process is that we concentrate more on trying to
24 overturn approved things as opposed to go back and
25 look at things that haven't been approved and try to

00226

1 approve them.

2 From the panel process, you're now adding
3 methodologists to it. The methodologist's role will
4 be probably even more not to be constructive in terms
5 of finding evidence out of you know, slop, but to
6 basically take evidence that might be there and you
7 know, provide caveats about why it's not as good as
8 it really looked by the first pass, when somebody
9 with less methodologic ability looked at it. Now I'm
10 hypothesizing, these are all hypotheses, I don't know
11 whether they're true, but I do believe we need to
12 look at our decisions we have made, our
13 recommendations, look to which ones you've taken, and
14 have some evaluative process that we are doing either
15 what you call a post-marketing surveillance or
16 something, to make sure we're doing anybody any good

17 in this country. So that if somebody two years from
18 now asks you to testify to what good have we done,
19 there might be something to show them one way or the
20 other about what we've done, and I think that can be
21 set up to make that happen.

22 I'm really concerned that we don't know
23 the answer to the question of, are the things that
24 we're doing things that really are useful to do.

25 MS. RICHNER: In terms of your

00227

1 restructuring the panels, regrouping them into three,
2 et cetera, you know, we did prepare a process and
3 guidelines where there were some things that we
4 recommended that be done, like for instance, the
5 panel must explain its conclusions in writing and all
6 that type of thing, and so far I haven't seen any
7 evidence of any of that, and I was just wondering if
8 we actually asked the panels to do what we said they
9 were supposed to, maybe some of these problems
10 wouldn't have occurred, especially like today with
11 what happened this morning.

12 DR. BROOK: Yeah. For the record, could
13 we have somebody look at guidelines that we
14 implemented, and try to sort of see the
15 correspondence between what happened on the last two
16 presentations and see what we need to do not to beat
17 people up but to improve the process, and how do we
18 involve us in doing that, because that would be very
19 useful.

20 MS. RICHNER: And also the questions
21 issue, we did address that. Remember, there was a
22 process where we were supposed to post the questions
23 on the web, there was supposed to be a whole process
24 for determining those questions, so there is a
25 process in place that we haven't really done yet, so

00228

1 maybe if we started following what we wrote, we
2 worked hard on this, that may solve some of our
3 problems.

4 So the consolidation of the panels,
5 including the methodologists and all that kind of
6 thing, I'm also concerned about how that would work
7 with this and what we've described.

8 DR. SOX: I have a paucity of experience
9 to relate. The automatic blood pressure monitoring
10 panel chair, which is me, I was asked I think along
11 with the vice chair, to review what HCFA now CMS
12 wrote up as well as its actual coverage decision, and
13 to give input into the fine shadings of the meanings
14 and so forth, which I considered to be a really
15 positive step. So there's at least one things that's
16 happened in one instance that was good. Tom.

17 DR. HOLOHAN: I think we're making too
18 much of a minor point. The reason that at least the
19 drugs panel changed the question was in the main a
20 result of the fact that they saw at that meeting for
21 the first time the FDA approval letter with a
22 specification of serum levels and the commentary that
23 you could treat serum levels with this drug, but you
24 could not anticipate changes in the signs and
25 symptoms alleged to be amenable to carnitine therapy.

00229

1 That had never been seen by anybody on the panel
2 prior to that day.

3 That made the single biggest difference in
4 that panel deciding that well, in fact none of the
5 data we've heard and most of the testimony has never
6 addressed actually what is carnitine deficiency.
7 There is no way you are going to change that if those
8 events occur. That wasn't CMS's fault, that was FDA.
9 They had intended, as I understand, to be there to
10 testify, changed their mind at the last minute and
11 provided a single sheet of paper.

12 DR. BROOK: All we're asking is if we are
13 going to do this correctly, the transparency of the
14 process, I mean, stop the issue of blame, it's the
15 transparency of the process. I mean, what Hal told
16 us, we don't know. What you just told us, we don't
17 know. And the question is, maybe there is something
18 between 500 pages of materials this high and
19 three-and-a-half pages that would be useful to help
20 understand where we're going. That's all I'm saying.
21 I mean, that would be a wonderful thing to say, but
22 we got the questions on the day of the meeting, we
23 saw something, and based on what we saw, we had to
24 change the question. Three sentences.

25 DR. TUNIS: I think the point is taken

00230

1 from today of highlighting yet again the importance
2 of not only the questions themselves but the process
3 by which the questions are derived, and I think we
4 will after this meeting go back, look at process of
5 documenting them. We are evolving an entire set of
6 standard operating procedures for every element of
7 the coverage process, which are getting towards a
8 usable form, and the procedures that we use for the
9 MCAC process is one part of those, so I think we will
10 be probably more faithful to that document in future
11 meetings.

12 And we probably at this point want to come
13 close to wrapping up, unless anyone wanted to say any
14 burning thing about criteria for referral.

15 MS. RICHNER: Criteria for referral is an
16 important one that, can you at least bring up now
17 what you're thinking about in terms of what questions
18 or issues you're bringing to the panels.

19 DR. TUNIS: Again, we haven't gone a lot

20 beyond the issue of things for which the evidence is
21 complex and at least, not obviously conclusive in one
22 direction or another. So we don't bring things to
23 the panel where the body of scientific evidence is
24 fairly simple and straightforward and you know,
25 drives you to a fairly natural conclusion. So

00231

1 evidence that's a little more complex, not clearly
2 pointing in one direction or another, and where there
3 are kind of overarching issues of controversy. For
4 instance, PET for Alzheimer's diseases, where there's
5 issues of prognostic information, the value of that,
6 and issues of the effectiveness of treatment, where
7 we just simply don't want to make all of those kind
8 of judgments internally, without a whole lot of
9 opportunity for public hearing.

10 MS. RICHNER: It just seems like the panel
11 over the last year has been PETs are us, it's just
12 PET, PET, PET every single time. It seems like it's
13 a little -- what else are we going to talk about
14 other than PET?

15 DR. SOX: Well, we're at the end of the

16 meeting, and only one of our members has gone yet.
17 Don't stand up please, because Janet has to dismiss
18 us.
19 MS. ANDERSON: Now you're all at my mercy,
20 so let's wrap this up.
21 I want to invite everyone for continuing
22 information to visit the CMS web site which is still
23 www.hcfa.gov/coverage., or simply www.hcfa.gov, and
24 click on the coverage process.
25 To conclude today's session, would someone

00232

1 please move that the meeting be adjourned.
2 DR. ALFORD-SMITH: So move.
3 DR. MURRAY: Second.
4 MS. ANDERSON: Thank you so much, the
5 meeting is adjourned.
6 (Whereupon, the meeting adjourned at
7 3:16 p.m.)

8
9
10