

00001

1

2

3

4

5

6

7

8

9

10

11 CENTERS FOR MEDICARE AND MEDICAID SERVICES

12 Medicare Coverage Advisory Committee

13

14

15

16

17

18

19 November 29, 2005

20

21 Centers for Medicare and Medicaid Services

22 7500 Security Boulevard

23 Baltimore, Maryland

24

25

00002

1 Panelists  
2  
3 Chairperson  
4 Alan M. Garber, M.D., Ph.D.  
5  
6 Vice Chairperson  
7 Alexander H. Krist, M.D.  
8  
9 Voting Members  
10 Michael Abecassis, M.D.  
11 Harry B. Burke, M.D., Ph.D.  
12 Mark Fendrick, M.D.  
13 Clifford Goodman, M.D.  
14 Bryan R. Luce, Ph.D.  
15 James E. Puklin, M.D.  
16 Jonathan P. Weiner, Ph.D.  
17  
18 HCFA Liaison  
19 Steve Phurrough, M.D., M.P.A.  
20  
21 Consumer Representative  
22 Morgan Downey, J.D.  
23  
24 Industry Representative  
25 William R. Clarke, M.D., M.Sc.

00003

1 Panelists (Continued)

2

3 Guest Expert Panelists

4 Leon B. Ellwein, Ph.D.

5 Ronald Klein, M.D., M.P.H.

6 Patrick Price, M.D.

7

8 Executive Secretary

9 Michelle Atkinson

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

00004

1	TABLE OF CONTENTS	
2		Page
3		
4	Opening Remarks	
5	Michelle Atkinson/Steve Phurroughs/	
6	Alan Garber	7
7		
8	Introduction of Panel	10
9		
10	CMS Presentation	
11	Stuart Caplan, R.N., M.A.S.	14
12		
13	CMS Summary of Evidence and Presentation	
14	of Voting Questions	
15	Ross Brechner, M.D., M.S., M.P.H.	22
16		
17	Presentation of the Technology Assessment	
18	David B. Matcher, M.D.	42
19	Ivan J. Suner, M.D.	43
20	David B. Matcher, M.D.	51
21		
22	Presentations	
23	Ronald Klein, M.D., M.P.H.	59
24	George Williams, M.D.	66
25	Neil Bressler, M.D.	66

00005

1	Table of Contents (Continued)	
2		
3	Scheduled Public Comments (Continued)	
4	Charles Semba, M.D.	81
5	Robert Vitti, M.D.	86
6	Tony Adamis, M.D.	88
7	Peter Kaiser, M.D.	91
8	Jonathan Javitt, M.D., M.P.H.	95
9	Carmen Puliafito, M.D., M.B.A.	98
10	Timothy Stout, M.D., Ph.D.	101
11	T. Mark Johnson, M.D.	104
12	Bert Glaser, M.D.	106
13	Jason Slakter, M.D.	110
14		
15	Open Public Comments	
16	Stephanie Earnshaw	115
17	Thomas Friberg	116
18	Evan Gragoudos	117
19	Reginald Sanders	118
20		
21	Questions to Presenters	119
22		
23	Lunch	151
24		
25	Questions to Presenters (Resumed)	151

00006

1	Table of Contents (Continued)	
2		
3	Open Discussion by Panel Members	197
4		
5	Formal Remarks and Vote	215
6		
7	Adjournment	274
8		
9		
10		
11		
12		
13		
14		
15		
16		
17		
18		
19		
20		
21		
22		
23		
24		
25		

00007

1 PANEL PROCEEDINGS

2 (The meeting was called to order at  
3 7:59 a.m., Tuesday, November 29, 2005.)

4 MS. ATKINSON: Good morning and  
5 welcome, committee chairperson, members and  
6 guests. I am Michelle Atkinson. I am the  
7 executive secretary for the Medicare Coverage  
8 Advisory Committee. The committee is here today  
9 to discuss the evidence, hear presentations and  
10 public comments, and make recommendations  
11 regarding the treatment for age-related macular  
12 degeneration.

13 The following announcement addresses  
14 conflict of interest issues associated with this  
15 meeting and is made part of the record. The  
16 conflict of interest statute prohibits special  
17 government employees participating in matters that  
18 could affect their or their employers' financial  
19 interest. Each member will be asked to disclose  
20 any financial conflicts of interest during their  
21 introduction. We ask in the interest of fairness  
22 that all persons making statements or  
23 presentations also disclose any current or  
24 previous financial involvement in any ophthalmic  
25 device company. This includes direct financial

00008

1 investment, consulting fees, and significant  
2 institutional support. If you haven't already  
3 received a disclosure statement, they are  
4 available on the table outside this room.  
5 We ask that all presenters please  
6 adhere to their time limits. We have numerous  
7 presenters to hear from today and a very tight  
8 agenda, and therefore, cannot allow extra time.  
9 There is a timer at the podium you should follow.  
10 The light will begin flashing when there are two  
11 minutes remaining and then turn red when your time  
12 is up. Please note that there is a chair in front  
13 of the stage for the next speaker, and proceed to  
14 the chair when it's your turn.  
15 For the record, voting members present  
16 for today's meeting are Alex Krist, Michael  
17 Abecassis, Harry Burke, Mark Fendrick, Cliff  
18 Goodman, Bryan Luce, James Puklin, and Jonathan  
19 Weiner. A quorum is present and no one has been  
20 recused because of any conflicts of interest. The  
21 entire panel including the non-voting members will  
22 participate in the voting.  
23 Anyone requiring transportation  
24 following the meeting should sign in at the  
25 registration desk during the breaks.



00009

1 I ask that all panel members please  
2 speak directly into the mikes. You may have to  
3 move the mikes since we have to share. And  
4 lastly, everyone, please remember to discard your  
5 trash in the trash cans located outside this room.  
6 And now I would like to turn it over to  
7 Dr. Phurrough.  
8 DR. PHURROUGH: Good morning. I'm  
9 Steve Phurrough, director of the coverage group  
10 here. Thank you for your attendance, and a  
11 special thank you to the panel members for their  
12 willingness to help us with this process.  
13 I want to introduce our new chairman  
14 and vice chairman. Alan Garber, a previous member  
15 of the MCAC, was on the MCAC for a period of time  
16 and due to rules had to leave, is back now as  
17 chairman. And Alex Krist, who is now our vice  
18 chairman. Thanks to them for agreeing to  
19 participate a bit extra in the MCAC.  
20 This continues our more recent MCACs  
21 where we are looking at particular technologies,  
22 procedures, services that are of interest to our  
23 beneficiary population, issues that we know we  
24 will be addressing, we suspect fairly soon, and we  
25 want to have an opportunity for the public to

00010

1 understand based upon MCAC's recommendations what  
2 we think about the current evidence and what we  
3 think some of the evidence developed needs to be  
4 in the near future as these technologies come to  
5 us. We think these are good forums and we  
6 appreciate your participation.  
7 I would like the panel to introduce  
8 themselves now and then we'll turn it over to Dr.  
9 Garber, and if the panelists, we'll start at the  
10 far end, will introduce themselves and any  
11 disclosures they might have.  
12 DR. PRICE: My name is Pat Price. I'm  
13 a Medicare medical director and I have no  
14 disclosures.  
15 DR. KLEIN: Ron Klein, epidemiologist,  
16 ophthalmologist. I have consulted for Eye Tech,  
17 Genentech and Novartis.  
18 DR. ELLWEIN: Leon Ellwein, National  
19 Eye Institute, associate director. No conflicts  
20 of interest.  
21 MR. DOWNEY: I'm Morgan Downey,  
22 executive director of the American Obesity  
23 Association. I'm here as the consumer  
24 representative and I have no conflicts of  
25 interest.

00011

1 MR. CLARKE: Bill Clarke, chief  
2 technology officer, GE Healthcare, and I have no  
3 conflicts.  
4 DR. WEINER: I'm Jonathan Weiner,  
5 deputy director at the Johns Hopkins School of  
6 Public Health, and I have no conflicts of  
7 interest.  
8 DR. PUKLIN: I'm James Puklin, an  
9 ophthalmologist at Kresge Eye Institute at Wayne  
10 State University in Detroit and I have no  
11 conflicts.  
12 DR. LUCE: I'm Bryan Luce, director of  
13 clinical policy at MEDTAP International. My  
14 company continues to consult with most of the  
15 companies involved with this.  
16 DR. GOODMAN: I am Cliff Goodman, vice  
17 president of the Lewin Group. My parent company  
18 does ongoing consultation with some of the  
19 companies of interest, but I have no personal  
20 financial interests.  
21 DR. FENDRICK: I am Mark Fendrick,  
22 University of Michigan, no conflicts.  
23 DR. BURKE: Harry Burke, internist,  
24 George Washington University, no conflicts.  
25 DR. ABECASSIS: Mike Abecassis,

00012

1 transplant surgeon from Chicago, no conflicts.  
2 DR. KRIST: Alex Krist, family  
3 physician, Virginia Commonwealth University, no  
4 conflicts.

5 DR. GARBER: Alan Garber, internist  
6 with the Department of Veterans Affairs and  
7 Stanford University. I have no conflicts to  
8 disclose.

9 DR. PHURROUGH: Alan, I turn it over to  
10 you.

11 DR. GARBER: First of all, I want to  
12 welcome everyone for coming here nice and early  
13 the first Tuesday after Thanksgiving, and I'd  
14 especially like to thank the panelists for coming  
15 here.

16 Just a few very brief comments about  
17 how we will proceed today. First of all, I want  
18 to emphasize that in order to ensure that everyone  
19 who wants to speak has an opportunity to speak, we  
20 will adhere very strictly to the time guidelines.  
21 The speaker will have a little flashing green,  
22 yellow and red light available to them. When the  
23 red light goes on, you will be cut off right where  
24 you are. And I'm sorry, it may sound a little bit  
25 strict or even a little bit rude, but that's what

00013

1 we've found is necessary to ensure that the  
2 meeting proceeds according to schedule and that  
3 the people who wish to speak can do so, so we will  
4 be very strict about that, and the people who have  
5 been scheduled speakers, I think have already been  
6 told about that.  
7 Second, I would like to urge anyone who  
8 is speaking before the panel today to tailor their  
9 comments very closely to the questions that we  
10 will be voting on. If the past is any indication,  
11 there is a temptation to discuss several issues  
12 that may be of interest to all of us but don't  
13 have a lot to do with the voting questions. And  
14 the voting questions today are principally about  
15 the measures that we can use to look at  
16 vision-related outcomes. And in addition, there  
17 are voting questions about established  
18 technologies for the treatment of AMD. Those are  
19 the two main issues.  
20 This is not a meeting that is  
21 principally about the importance of AMD, I think  
22 we all believe very strongly that it is a very  
23 important condition, and furthermore, that any  
24 treatment that makes a difference in this disease  
25 is worthy of very serious consideration. But

00014

1 those are not the issues today. The issues today  
2 really are about the measures and about the  
3 evidence both in support of various outcome  
4 measures that have been used and in support of  
5 established technologies for the treatment of AMD,  
6 and we'll hear a lot more about those questions  
7 very soon.  
8 So I would like to ask speakers to  
9 address those voting questions, not necessarily  
10 any specific treatment unless that specific  
11 treatment is part of the voting question, and not  
12 really about the importance of AMD or the benefits  
13 of treating it successfully. We can accept that  
14 as a starting premise for today, that an effective  
15 treatment is indeed a good thing for Medicare  
16 beneficiaries, and I doubt that there would be any  
17 disagreement about that point.  
18 So, we have a number of presentations  
19 that will be dedicated directly to these voting  
20 questions and before we start with the scheduled  
21 speakers, let me just ask if any of the panelists  
22 have any questions.  
23 Okay. We will proceed with the CMS  
24 presentation of Stuart Caplan.  
25 MR. CAPLAN: Good morning and thank

00015

1 you, Chairman Garber, panelists, invited guests,  
2 members of the public. On behalf of the Medicare  
3 and Medicare Services, I welcome you to the  
4 Medicare Coverage Advisory Committee today to  
5 discuss age-related macular degeneration, or AMD.  
6 The CMS staff present today includes presentations  
7 from Dr. Ross Brechner as the medical officer,  
8 myself, Stuart Caplan as the analyst, the MCAC  
9 executive secretaries, Michelle Atkinson and  
10 Kimberly Long, Dr. Louis Jacques, who is director,  
11 Division of Items and Devices, and Dr. Steve  
12 Phurrough, director of the Coverage and Analysis  
13 Group. I would also like to thank my CMS  
14 colleagues who worked hard with me to prepare  
15 today's presentation.  
16 Today's presentation includes  
17 information on AMD treatments and outcome measures  
18 along with a review and data analysis of those  
19 measures, the history of Medicare coverage related  
20 to those treatments, along with MCAC panel  
21 questions. We will also hear presentations by Dr.  
22 Ross Brechner who will discuss the AMD disease  
23 process and evidence summary, Dr. Ron Klein who is  
24 presenting information on AMD clinical outcomes,  
25 Dr. David Matcher who will present the technology

00016

1 assessment, and Dr. George Williams, from the  
2 American Academy of Ophthalmology.  
3 The panel has received the following  
4 materials, all of which are publicly available.  
5 The draft technology assessment provided by the  
6 Agency for Healthcare Research and Quality, copies  
7 of the articles reviewed, the written testimony of  
8 scheduled presenters, a summary of evidence  
9 provided by CMS, and questions for the panel. A  
10 complete set of these materials is also available  
11 on the desk outside of this room.  
12 Age-related macular degeneration is the  
13 leading cause of legal blindness in Americans over  
14 the age of 65. The National Eye Institute  
15 estimates that there are 165,000 new cases of AMD  
16 each year for all populations. Of those 165,000,  
17 90 percent or about 150,000 are diagnosed with dry  
18 or non-exudative AMD. 10 percent of cases, or 16  
19 to 17,000 have the wet or exudative form of AMD.  
20 The exudative form of AMD causes more rapid and  
21 severe vision loss. The estimated prevalence for  
22 AMD in Americans over the age of 65 is 7.1  
23 percent, or approximately 1.2 million individuals.  
24 There is no cure for AMD. There are,  
25 however, a number of available treatments. Ocular



00017

1 photodynamic therapy with verteporfin, known as  
2 OPT or PDT, is the most widely used treatment and  
3 there is quite a bit of evidence for it.  
4 Anti-angiogenesis therapy is aimed at  
5 specific drugs related to the growth of abnormal  
6 blood vessels in the retina. Anti-angiogenesis  
7 therapy which is currently approved consists of  
8 pegaptanib sodium or Macugen, which is  
9 administered by intravitreal injection.  
10 Laser photocoagulation provides relief,  
11 but it causes burn damage to the retina, so with  
12 the attendant risk present, this treatment may  
13 have less appeal.  
14 Vitamin therapy and other treatments  
15 are also available and various therapies are  
16 currently undergoing FDA trials.  
17 Except for ocular photodynamic therapy  
18 with verteporfin, Medicare has not issued national  
19 coverage determinations for other AMD therapies.  
20 The FDA has approved clinical use of  
21 verteporfin for predominantly classic AMD-related  
22 subfoveal choroidal neovascularization or CNV.  
23 However, treatment for occult or minimally classic  
24 AMD is an off-label use. However, in January of  
25 2004, CMS extended their coverage of verteporfin

00018

1 for broader indications than the FDA label when  
2 certain clinical criteria are met. Dr. Brechner  
3 will explain the nature of these various types of  
4 AMD lesions in his presentation.  
5 The national coverage determination on  
6 OPT with verteporfin can be found on the CMS  
7 coverage web site at the following address.  
8 Pegaptanib sodium or Macugen is a type  
9 of drug known as anti-vascular endothelial growth  
10 factor or anti-VEGF. Pegaptanib sodium is  
11 FDA-approved for all types of AMD-related CNV as  
12 determined by fluorescein angiography, and CMS has  
13 not issued a national coverage determination for  
14 this therapy, coverage is at contractor  
15 discretion.  
16 A number of non FDA-approved treatments  
17 are in clinical trials and are nationally  
18 noncovered by CMS. Anecortave acetate and  
19 ranibizumab are administered by intravitreal  
20 injection. Both of these drugs inhibit growth of  
21 abnormal retinal blood vessels. Other drug  
22 therapies are in FDA trials, including Squalamine  
23 and other treatment modalities.  
24 Bevacizumab, or Avastin, is an  
25 FDA-approved drug for metastatic colon cancer. It

00019

1 is being used off label by intravitreal injection,  
2 and coverage is at local contractor discretion.  
3 Triamcinolone acetonide, FDA-approved  
4 for a number of indications, and is also being  
5 used off label by intravitreal injection to  
6 inhibit abnormal vessel growth. CMS is silent on  
7 the off-label use and coverage is at contractor  
8 discretion. Coverage of laser photocoagulation is  
9 also at contractor discretion.  
10 There are ongoing trials involving  
11 combination therapies of FDA-approved drugs. CMS  
12 is also silent on these combination therapies and  
13 once again, coverage is at contractor discretion.  
14 Now I would like to get to the panel  
15 questions.  
16 Question number one: Each of the  
17 following have been reported as measures of  
18 disease activity or outcome in AMD. Some are  
19 direct measures of visual outcome, unambiguously  
20 representing visual aspects of patient well-being.  
21 Others are intermediate endpoints, meaning that  
22 they are intended to predict visual outcomes, even  
23 if they are not direct measures of outcomes  
24 themselves.  
25 For each of the measures below, how

00020

1 confident are you that it is valid as a measure of  
2 visual outcome? If it is not a valid measure of  
3 visual outcome, how confident are you that it is a  
4 valid intermediate endpoint?  
5 Those measures are: Visual acuity, the  
6 VFQ 25, extent of choroidal neovascularization,  
7 Amsler grid, Drusen extent/progression, geographic  
8 atrophy, glare recovery, contrast sensitivity,  
9 fluorescein angiography, visual fields, and ocular  
10 coherence tomography.  
11 Question 1B. Which other currently  
12 available outcome or intermediate measures should  
13 be considered?  
14 1C. As new technologies arise, will  
15 new outcome or intermediate measures be needed to  
16 demonstrate benefit in the treatment of AMD?  
17 Question 1D. What are the appropriate  
18 chronological criteria for short-term and  
19 long-term outcomes in AMD?  
20 Panel Question 2. At present, usual  
21 and approved care for AMD commonly includes  
22 photodynamic therapy with verteporfin, laser  
23 photocoagulation, intravitreal injection of  
24 pegaptanib, and oral vitamins, antioxidants and  
25 zinc.

00021

1 2A and B. How confident are you that,  
2 A, there is sufficient evidence to assess the  
3 health benefit of these modalities compared to  
4 watchful waiting? And B, are there therapies  
5 other than photodynamic therapy with verteporfin,  
6 laser photocoagulation, intravitreal injection of  
7 pegaptanib, and vitamins that provide a health  
8 benefit when compared to watchful waiting?  
9 Question 3. Based on evidence  
10 reviewed, how confident are you that the  
11 treatments such as photodynamic therapy with  
12 verteporfin, laser photocoagulation, intravitreal  
13 injection of pegaptanib, and oral vitamins,  
14 antioxidants and zinc will positively affect the  
15 outcomes listed in Question 1?  
16 Question 4A. Based on the evidence  
17 reviewed, how confident are you that the improved  
18 treatment modalities such as photodynamic therapy  
19 with verteporfin, laser photocoagulation,  
20 intravitreal injection of pegaptanib, and oral  
21 vitamins, antioxidants and zinc used singly or in  
22 combination, produce clinically significant net  
23 health benefits in the treatment of AMD.  
24 4B. Based on evidence reviewed, how  
25 confident are you that the other treatment

00022

1 modalities, used singly or in combination, produce  
2 clinically significant net health benefits in the  
3 treatment of AMD?  
4 Panel Question 5. What are the  
5 knowledge gaps in current evidence pertaining to  
6 the usual care and outcome measurements of AMD?  
7 Question 6. What trial designs will  
8 support the development of sufficient evidence to  
9 determine the appropriate treatment of AMD?  
10 And finally, Question 7 for the panel.  
11 Based on the evidence presented, how likely is it  
12 that studies using valid measures of outcomes in  
13 treatment of AMD will result in conclusions that  
14 can be generalized to the Medicare population?  
15 I would like now to introduce Dr. Ross  
16 Brechner, the lead medical officer for this  
17 process. Dr. Brechner is a board certified  
18 ophthalmologist, and statistician. Ross.  
19 DR. BRECHNER: Good morning. My talk  
20 is shorter than these questions. Good morning,  
21 Chairman Garber, members of the Medicare Coverage  
22 Advisory Committee, members of the public,  
23 colleagues, good to see you all. This morning's  
24 talk of mine is on the summary of evidence  
25 regarding AMD, age-related macular degeneration,

00023

1 medicines and treatment.  
2 Some of the objectives, we will discuss  
3 the MCAC purpose related to age-related macular  
4 degeneration. The history of coverage has been  
5 well covered by Mr. Caplan. A little about the  
6 epidemiology of AMD and how we did our literature  
7 search. Then some of the data, and then some  
8 conclusions and recommendations.  
9 In terms of the MCAC purpose, one of  
10 the real interesting things is that if you could  
11 weigh our ability to treat AMD either minimally or  
12 moderately successfully back 30 or so years, and  
13 then go and you weigh it now, it would be a lot  
14 heavier. There is a new revolution in AMD  
15 treatment right now and we need to know a lot more  
16 about how these treatments are affecting our  
17 patients and we need to know how they are being  
18 measured, and let's see if we can standardize  
19 these measurements, and that way Medicare can  
20 judge whether these treatments are reasonable and  
21 necessary for their beneficiaries. Of course  
22 Medicare only will be approached to pay for these  
23 things and we need to be very careful about  
24 whether or not we cover these.  
25 Now AMD is a degeneration of the

00024

1 central vision, central macula, and it falls into  
2 two general categories, dry and wet. In the dry  
3 kind, there are what we call Drusen soft where you  
4 see AMD positive in the retina. We also see  
5 pigmentary and epithelial changes and geographic  
6 atrophy. And then the wet kind is categorized by  
7 choroidal neovascularization which is exudative,  
8 or as Mr. Caplan says, exudative.  
9 The types of AMD and progression of  
10 them, this is just a brief chart sliding from no  
11 maculopathy to soft Drusen and pigment changes, to  
12 geographic atrophy, and the important point in  
13 this slide is that geographic atrophy is an  
14 advanced form of maculopathy but it is still of  
15 the dry type, whereas choroidal neovascularization  
16 is of the wet type.  
17 This is a picture of a normal macula,  
18 there's none of these hard Drusen or anything else  
19 in there. This picture has a small bit of early  
20 age-related maculopathy, and you'll see this in  
21 all the pictures but however, this schematic is  
22 showing the progression, but these are the soft  
23 Drusen.  
24 In this picture you can see an atrophic  
25 central retina and behind it you can see through



00025

1 to the geographic atrophy.  
2 And then finally, along with the  
3 schematic showing progression to the exudative  
4 AMD, you can see a picture of what CNV looks like  
5 to the eye in the retina with some bleeding, some  
6 elevation of edema, some small bit of exudation.  
7 An important description of AMD, AMD subtypes is  
8 going angiographically, progressing  
9 angiographically.  
10 Now a classic form of, a classic  
11 neovascularization is described by a lacy pattern  
12 on fluorescein angiography and if the percent of  
13 the entire lesion that the lacy pattern or CNV  
14 covers is greater than 50 percent of the total  
15 area of the lesion, it's called predominantly  
16 classic, less than 50 percent is minimally  
17 classic, and then if there's no classic, it's  
18 called purely occult.  
19 For those that don't have a concept of  
20 what it might be like to have a visual loss from  
21 AMD, here's one example of what it might look  
22 like. You have some blurring in early AMD and  
23 some central vision loss in late AMD.  
24 With regards to the epidemiology and  
25 prevalence, there are approximately eight million

00026

1 persons in the United States right now who have  
2 some form of AMD, and 85 to 90 percent of it is  
3 dry. 1.75 million have advanced AMD, which  
4 includes geographic atrophy, and generally the  
5 prevalence is zero percent below the age of 50 to  
6 55. And the prevalence of AMD in persons 75 years  
7 or older, it's approximately 7.1 percent, and the  
8 exudative type overall is 1.2 percent of those  
9 persons who are in that age group.  
10 In terms of the incidence of early AMD,  
11 the Klein and Beaver Dam study, and Dr. Klein is  
12 with us today on the panel, showed that there was  
13 a 12.1 percent cumulative incidence of early  
14 macular degeneration in this population over ten  
15 years, and 2.1 percent incidence in that same  
16 population over ten years of the late kind.  
17 In terms of risk factors, there were  
18 two major categories for AMD, one is the  
19 modifiable type and the other is the  
20 non-modifiable type. Of the modifiable type, the  
21 most important is considered to be smoking. With  
22 regard to the non-modifiable types, as age  
23 increases, the chance or the risk factor for  
24 getting AMD increases, females have more of a  
25 chance of developing AMD than males, having family

00027

1 history increases your chances, and being white  
2 compared to nonwhite increases your chances.  
3 Now when we started drawing data, we  
4 were looking at two major areas of questions  
5 today. One was how about current treatments that  
6 are out there as compared to observation or  
7 watchful waiting, even as a group, do they give us  
8 the impression that there is something out there  
9 that helps us in terms of a net health benefit?  
10 And the second set of questions was, let's look at  
11 the AMD outcomes out there and see how they  
12 measure and see whether we have valid reliable  
13 measurements even though a lot of us intuitively  
14 accept these measurements axiomatically, because  
15 we were kind of raised with it in the training.  
16 This, we won't read through this slide,  
17 but out of all the papers that we found, and I  
18 wanted to be widely inclusive because I was  
19 looking for some information on this, we included  
20 110 papers that were relevant to our MCAC  
21 objectives, and they ran from 1976 to 2005. Of  
22 110 papers, there were 83 that we found acceptable  
23 compared to 27 that weren't. But of those 83,  
24 there were a significant number that talked about  
25 a new measurement for macular degeneration but

00028

1 didn't have a lot of data to support the  
2 measurement, but for completeness to see what was  
3 out there, I included some of that.  
4 With regard to visual acuity, up to  
5 1976, Snellen charts were in very common use and  
6 in 1976, Bailey and Lovie developed a chart that  
7 is right here that had letters of equal  
8 legibility, fixed ratio between rows on the base  
9 ten, the same number of letters in each row, and  
10 uniform between-letter and between-row spacing, so  
11 that the rows, the visual acuity angle doubles.  
12 Now, Ferris et al. in 1982 supported its use in  
13 trials when it was used at four meters, and in  
14 1988 the original paper was once again verified in  
15 some studies as valid and reliable. In 1993,  
16 Reeve measured a set of patients four weeks apart  
17 with this chart to check and see if visual acuity  
18 stayed the same and found out it was reliable.  
19 There was generally fair support for the use of VA  
20 with certain caveats.  
21 The question of quality of life will be  
22 addressed by the Duke people today who did a TA  
23 for AHRQ and they will present that data.  
24 In terms of visual function, during my  
25 whole reading, I found that there was a paucity of

00029

1 strict validation data, definition,  
2 standardization of this topic of what's visual  
3 function. We all have an intuitive feeling for it  
4 in ophthalmology and I thought maybe it was just  
5 my own intuition that was floating around, but  
6 when I read all the literature, I didn't find a  
7 lot defining it or standardizing it.  
8 In a 1988 study, Pelli developed a new  
9 letter chart and on each line increased the  
10 contrast of the letters by one over the root of  
11 two from group to group. He devised it to be used  
12 at three meters and this is very often used in  
13 studies. In 1988, Greeves et al. also had a study  
14 showing that the 20 decibel chart was a good  
15 screening device for macular disease, as long as  
16 it was used with another test of some kind.  
17 Lennerstrand in 1989 demonstrated that optotype  
18 charts were better than electronic tests for  
19 measuring contrast sensitivity. And in 2004,  
20 Mones did a review and claimed that there was good  
21 evidence for use of contrast sensitivity in CNV  
22 due to AMD as part of the overall visual function,  
23 which was, once again, not defined. Now, the  
24 evidence from good trials in respect to validation  
25 of contrast sensitivity and its use for measuring

00030

1 AMD is really sparse.  
2 The Amsler grid is an old favorite.  
3 This is what it looks like on paper. This is what  
4 it might look like to a patient who has central  
5 vision change in the macula, some distortion.  
6 Studies have indicated that the Amsler grid test  
7 has poor validity, and actually its sensitivity is  
8 not that good either, and it has poor specificity  
9 with regard to AMD. Once again, good data are  
10 sparse.  
11 We found that with regard to size, type  
12 and number of lesions, many studies used this as a  
13 measure for need of treatment and the tracking of  
14 progression of AMD. Intuitively it makes sense,  
15 but we didn't find any studies that validated this  
16 use because axiomatically, the profession  
17 considers this to make sense and so they just do  
18 it.  
19 With regard to fundus photos, in 1991  
20 Klein et al. detailed a precise method for grading  
21 AMD. Although it was varying, there was good  
22 reliability and validity, it was not doable by  
23 all, and as any of us with any relations to these  
24 centers know, is complex and very expensive and  
25 time-consuming. Dr. Klein will describe this

00031

1 method in an upcoming talk this morning.  
2 In 1995, Bird et al. published a paper  
3 describing methods for taking and grading  
4 transparencies, but there were no validation  
5 methods discussed in that particular paper. In  
6 1993, Scholl et al. said there was good  
7 reproducibility with a revised version of the  
8 grading system that he established, and the  
9 grading system was that that was promulgated by  
10 the International AMD Epidemiological Study Group.  
11 Van Leeuwen et al. reported that digital images  
12 were as good as transparencies. So there is  
13 generally good data on grading and staging if you  
14 take into account all of the evidence.  
15 Visual field automated testing is  
16 widely used, but in the literature there was very  
17 little information about whether or not this was a  
18 valid way to judge AMD. One paper that I just  
19 mentioned recently by Nazemi concluded that 3-D  
20 computer automated threshold Amsler grid tests  
21 could correlate with fluorescein angiography and  
22 that perhaps monitoring scotomas in patients with  
23 AMD was a potential for tracking down and  
24 following AMD, but the paucity of data or validity  
25 of data is just not enough to really satisfy us.

00032

1 With respect to OCT, optical coherence  
2 tomography, Hee et al. in 1996 took 90 patients  
3 with untreated exudative AMD, compared the  
4 measurement of that by OCT to fluorescein  
5 angiography for identification and classification,  
6 and concluded that it might be useful in  
7 monitoring CNV before and after laser  
8 photocoagulation.  
9 In 2005, Salinas et al. did a  
10 prospective observational case series of OCT in  
11 patients both before and after PDT, but there is  
12 62 eyes that they looked at, and they had high  
13 sensitivity for detecting CNV activity whether or  
14 not the diagnosis was made before or after  
15 treatment. Specificity was modest, 50 to 60  
16 percent. The authors concluded that OCT might be  
17 useful for indicating CNV activity. Similar  
18 results were found in a consecutive case series by  
19 Sandhu in 2005. Once again, though, the data  
20 strength is weak and there were no RCTs found.  
21 Seddon, as part of the AREDS trial, a  
22 multicenter trial which I will talk about briefly  
23 later, took patients from that and measured their  
24 C-reactive protein and found that over a period of  
25 six-plus years of follow-up, elevated CRP level



00033

1 was an independent risk factor for developing AMD.  
2 Reading speed has been in a couple of  
3 good trials but once again, when I looked around,  
4 there wasn't anything that validated what kind of  
5 reading speed, et cetera, et cetera. This is an  
6 example where Elliott tested 15 persons with AMD  
7 and tested 15 persons with normal eyes in 2001 on  
8 the Bailey-Lovie chart, and people who had AMD  
9 were, surprise, slower in reading, and he said it  
10 might be a way of monitoring progress. But once  
11 again, there is a paucity of data.  
12 With regard to the scanning laser  
13 ophthalmoscope, Fuji et al. in 2003 found that  
14 using that technique and looking at increased  
15 disease duration, they found it was associated  
16 with a worse fixation pattern and retinal  
17 sensitivity deterioration, and thought that maybe  
18 they could use this instrument following the  
19 progression of AMD. Again, very weak data.  
20 Now, I've got four more of these. The  
21 following measures have currently little or no  
22 good data to support them and I mention them for  
23 completeness. Face recognition, facial expression  
24 discrimination. Macular mapping test score, from  
25 Bartlett et al., is a software program on a

00034

1 computer that gets targets. Macular computerized  
2 psychophysical test, a test that identifies white  
3 dots on a black screen. Glare recovery or macular  
4 photostress, very sensitive but not specific.  
5 Now I'm going to move on to the second  
6 part about the overall question, what do we have  
7 out there and as a group, does it help us? The  
8 macular photocoagulation study, an RCT multicenter  
9 study from the 1980s, conducted over a number of  
10 years, which some say had a great role to play.  
11 And in that one the argon and krypton studies were  
12 halted early because of reduced visual acuity loss  
13 in the treated groups.  
14 In the submacular surgery trial, also  
15 an RCT, there were 454 patients randomized to  
16 either simply observed or surgery, and the groups  
17 had essentially an equal or nonstatistical  
18 difference at the end with regard to the  
19 improvement or decrease of vision. So, they  
20 determined that submacular surgery is not helpful  
21 to many commonly found lesions in AMD eyes.  
22 Interestingly, there were some positive results on  
23 the NEI-VFQ test they gave these people, surgery  
24 was better than observation in terms of quality of  
25 life.

00035

1 In the treatment of age-related macular  
2 degeneration with photodynamic therapy study,  
3 there was an RCT multicenter study in the U.S. and  
4 Europe with 609 patients, 402 were randomized to  
5 PDT and 207 to observation, followed for a period  
6 of two years. The significant finding in this  
7 study was that of those who had dominant classic  
8 CNV, 59 percent lost less than 15 letters at 24  
9 months, as compared to 31 percent in the  
10 observation group. One of the major conclusions  
11 is that PDT prevents visual acuity loss in certain  
12 cases of subfoveal CNV.

13 In the Radiation Therapy for AMD Study,  
14 the RADS study, also a randomized controlled  
15 trial, 205 patients with CNV randomized to a  
16 treatment group of 101 patients, a control group,  
17 and each group was given eight fractions of two  
18 Grays and/or sham respectively. There was no  
19 effect of the treatment on the treatment group  
20 versus the observed group as measured by a mean  
21 reduction in visual acuity.

22 Now the first of the anti-VEGF agents  
23 to be approved was Macugen or pegaptanib. In the  
24 study published last December in the New England  
25 Journal of Medicine, they combined the two studies

00036

1 and had approximately 1,200 patients and they were  
2 randomized to four groups, observation, 0.30  
3 milligrams, 1.0, or 3.0 milligrams of intravitreal  
4 injection of Macugen every six weeks for one year.  
5 The endpoint was the loss of less than 15 letters  
6 of VA. At least 25 percent of the patients, or  
7 not at least, but approximately 25 percent had  
8 some PDT treatment prior to, at the beginning of  
9 or during the study. Taking that into account and  
10 looking at all three groups, there was a 70  
11 percent with Macugen, all three groups who had  
12 received Macugen, there was 70 percent of the  
13 group who had lost less than 15 letters at one  
14 year, versus 55 percent of the observed group.  
15 With regard to anecortave acetate, a  
16 randomized controlled trial was done by D'Amico  
17 et al. in 2003. The patients were broken into  
18 four groups, 3, 15 and 30 milligrams, versus the  
19 control. There was juxtascleral deposition on  
20 anecortave acetate, and at 12 months the  
21 15-milligram group, which was administered at  
22 six-month intervals, was shown to be statistically  
23 superior to placebo on mean change, visual acuity,  
24 stabilization of vision, and prevention of severe  
25 vision loss at the time of the trial.

00037

1 Now some other agents that are  
2 currently out there just for mention, and  
3 Mr. Caplan covered these, ranibizumab,  
4 Triamcinolone, Squalamine and others, in my  
5 summary of evidence that has been posted, there is  
6 a list of trials.  
7 Now what's been approved is  
8 verteporfin, pegaptanib, and anecortave acetate,  
9 as you may all know, is gaining approval letters.  
10 What's next? Well, there are some guesses but no  
11 one is sure.  
12 AREDS, the age-related eye disease  
13 study, 5,000 participants aged 55 to 80 in 11  
14 clinical centers nationwide. They were being  
15 given one of four treatments, zinc alone,  
16 antioxidants alone, a combo, or a placebo. And  
17 after six-plus years, it was determined that high  
18 levels of antioxidants and zinc significantly  
19 reduced the odd for development of advanced AMD  
20 and associated vision loss in comparison with the  
21 placebo.  
22 Now, some observations. These are a  
23 little bit strong, but they are my observations  
24 and I keep coming back to them. In almost all of  
25 the trials, in everything that I read, there was

00038

1 very little agreement in all of the different  
2 studies for what cutoff points, what outcome  
3 measures -- I mean, people used visual acuity a  
4 lot but what was the cutoff point? Some had  
5 improvement of 15 letters, some had status quo,  
6 some had mean visual acuity, some had eight  
7 letters of decrease, some had less than 15, some  
8 had less than 30. I looked at each one and  
9 thought okay, that's what they said, fine, but in  
10 order to compare all this data, I found it  
11 difficult to look across them on that basis alone  
12 and to compare, and this was true for a lot of the  
13 different measurements that we went through.  
14 Also, the conditions of measurements  
15 were very often not mentioned with detail and in  
16 some cases where they were mentioned, due to time  
17 constraints and other things, I wasn't sure, it  
18 wasn't spoken about that they were followed  
19 correctly. For example, visual acuity again, what  
20 were the lumens in the room, how were they  
21 handled, was it standardized, how far from the  
22 chart were they. Sometimes they were one meter  
23 from the chart, two meters, four meters, and a  
24 patient with macular degeneration is liable to  
25 lean forward a little bit. So there are all kinds

00039

1 of things out there to compare, but nobody is  
2 doing something to validate these measurements.  
3 Now, I also found that the inclusion  
4 and exclusion criteria varied widely in the trials  
5 with regard to treatment and measurement of AMD.  
6 You know, each time they made some sense, they  
7 didn't include somebody here, but it was difficult  
8 to compare or cross all the trials because they  
9 were different in all the trials, which meant that  
10 the base from which they came was different.  
11 Okay, conclusions. I will repeat some  
12 of the observations. There is a general paucity  
13 of data that clearly validate the standard  
14 measurement testing modalities in and of  
15 themselves with the exception of some VA measures,  
16 fundus photos and QOL, and I don't have the slides  
17 for QOL at this point, Duke is going to present  
18 that.  
19 The literature does make reference to a  
20 lot of different ways to measure outcomes of AMD,  
21 yes, that's for sure. I haven't even gotten them  
22 all in here. There are different RCTs and other  
23 AMD studies that all used different and widely  
24 diverse inclusion and exclusion criteria, as I  
25 mentioned. They used different or undefined

00040

1 conditions for measuring various outcome measures,  
2 as I mentioned. Follow-up in clinical trials  
3 range from months to over six years or more, with  
4 most ranging from one to three years, but that's  
5 partially understandable because this is new, it's  
6 hard to get, but the question is how long should  
7 we be following it, one of the questions we have  
8 here today.  
9 The data with regard to laser,  
10 intravitreal injection and vitamins may be  
11 sufficient at present to assess the health benefit  
12 of these modalities when compared to observation.  
13 Other modalities may be on the verge of or close  
14 to showing a health benefit when compared to  
15 watchful waiting. There is sufficient evidence in  
16 the literature to determine whether or not  
17 treatments such as PDT or photocoagulation can  
18 positively affect some of the outcome measures  
19 submitted before this MCAC.  
20 Recommendations. Further evaluation of  
21 AMD treatments, well, that's a given.  
22 Standardization of inclusion and exclusion  
23 criteria for RCTs on AMD where possible, and I say  
24 where possible because this isn't a frictionless  
25 surface and it's not perfect, but we need to see



00041

1 if we can't get these standardized.  
2 Standardization of cutoff points and methods of  
3 measuring outcomes for AMD. Clinical trials  
4 should be designed with attention to CMS  
5 evidentiary needs, so be thinking about us, not  
6 devoting all your attention, but be thinking about  
7 us if you're planning for your product to come  
8 through us.  
9 And then, studies to fill in the gaps  
10 of our knowledge need to be developed. And I have  
11 an asterisk next to the first one, well designed  
12 validation studies for outcome measures. And  
13 then, combination studies of the new drugs coming  
14 out, and this is already happening, but as they  
15 are happening, they all need to keep this stuff in  
16 mind so before it gets too far down the pike and  
17 some stuff is developed, we need to look at  
18 whether combinations are more effective than any  
19 single drug treatment alone, unless we find a  
20 treatment that takes care of 100 percent of the  
21 patients.  
22 And this little thing, this is the end  
23 of my talk, this is from a temple in Katmandu, I  
24 took a picture when I was passing through there  
25 out of Tibet. Thank you very much.

00042

1 (Applause.)

2 DR. GARBBER: Thank you, Ross. David  
3 Matcher, from Duke.

4 DR. MATCHER: Good morning, thank you  
5 for the invitation to talk today. I'm  
6 representing a group from the Duke University  
7 evidence-based practice center. I am an internist  
8 and more of a methodologist. Some of the people  
9 who are, in addition to being methodologists, are  
10 also ophthalmologists. Today Dr. Suner and I are  
11 going to be giving this presentation as a tag  
12 team. First of all, as Dr. Brechner presented  
13 just a moment ago some issues of measures,  
14 objective measures of deficits from age-related  
15 macular degeneration to another set of issues  
16 about the measure of age-related macular  
17 degeneration, namely quality of life measures. In  
18 a sense, what I carried out of this last talk,  
19 Ross's last talk was that certainly objective  
20 measures have been used to a certain extent but  
21 very inconsistently and the question really  
22 remains, what do they really mean from a clinical  
23 experience and patient experience, and I do think  
24 they are necessary to show it's something worth  
25 having, something worth covering.

00043

1 So what we're going to talk now about  
2 is the quality of life measures in AMD and we're  
3 going to be focusing to a certain extent on the  
4 technical aspects of these measures. You should  
5 be aware that there is a web site that has our  
6 full report and all of the evidence tables and  
7 each of these various studies that we looked at.  
8 This presentation is not an opportunity for us to  
9 go over that document, but rather, to quickly go  
10 over what is contained in the document, some of  
11 the conclusions of the document, and to focus as  
12 much as possible on the issue of, are the visual  
13 quality of life measures a contribution to our  
14 understanding of the impact of AMD and treatment  
15 for AMD? And the really crucial question of what  
16 response do these clinically mean? The questions  
17 I will be addressing, you will see hopefully now,  
18 were the questions that were raised earlier in the  
19 morning. I'm going to now turn this over to  
20 Dr. Suner, who is going to talk now about the  
21 technical issues.

22 DR. SUNER: Thank you, David. It is an  
23 honor and a pleasure to stand before this  
24 distinguished panel, colleagues and other  
25 interested parties in this very important subject.

00044

1 As Dr. Brechner already mentioned, AMD is a  
2 significant problem that affects central vision of  
3 the retina, and is the leading cause of  
4 irreversible vision loss in this country. It does  
5 affect many people in this country, and  
6 particularly relevant to this panel, it affects  
7 significant populations of the Medicare  
8 recipients.  
9 The key questions that the MCAC tasked  
10 the Duke team with are presented here. The first  
11 key question was as to the status of quality of  
12 life measures in AMD, specifically what quality of  
13 life measurements have been used to evaluate  
14 patients with AMD, whether these particular  
15 instruments have also been applied to other eye  
16 conditions with similar central vision loss  
17 impact, and the psychometric properties of these  
18 particular instruments.  
19 The second key question is, what were  
20 the factors that may influence the response of  
21 these particular instruments to quality of life?  
22 And the third question was, how do  
23 these measures relate to traditional outcome  
24 measures that you already heard about from Dr.  
25 Brechner, namely visual acuity, reading speed,

00045

1 contrast sensitivity, and clinical severity of  
2 AMD.  
3 Vision-specific quality of life is  
4 important. The reality is that this really  
5 impacts many patients and we have to get these  
6 measures many times. Specifically, a person with  
7 20/40 visual acuity with AMD, that may have a very  
8 different impact, whether this quality of vision  
9 of 20/40 is good for them or not. The person who  
10 is reading the stock market report may be severely  
11 impacted as opposed to someone who's out in nature  
12 and walking in the outdoors. It's also a  
13 condition that affects both eyes, asymmetrically  
14 at times; however, your better seeing eye may one  
15 day become the most impacted or most severely  
16 impacted eye in the future. And finally, as  
17 alluded to before, patients have different needs  
18 and preferences. A patient who lives alone in a  
19 big city with poor public transportation will be  
20 impacted differently than the patient that has a  
21 very strong family network at home with them and  
22 can get around with that family member.  
23 So as Dr. Matcher indicated, all the  
24 assessments and fine detail is available on the  
25 CMS web site under this particular MCAC, and that

00046

1 contains all the evidence tables and methodologies  
2 that we'll summarize in the interest of time at  
3 this point.  
4 With key question one in terms of  
5 quality of life measures used in AMD, early on,  
6 general health measures such as an SF-36, for  
7 example, were used, and these measures were found  
8 to be insensitive to the impact of visual acuity  
9 and other objective measures and general visual  
10 quality of life.  
11 More specific measures in task  
12 performance, and this has not been very well  
13 studied, there's one large study in the  
14 literature, the Salisbury eye evaluation.  
15 However, this is categorized to AMD all that well,  
16 but that is a very useful way to look at this  
17 particular instrument. However, there are  
18 difficulties in that it's a very time-intensive  
19 and difficult to standardize measure.  
20 Now getting to the crux of the matter,  
21 there have been five instruments that have been  
22 fairly well studied. One of them, the VF-14, I'm  
23 happy that Jonathan Javitt, one of the pioneers in  
24 developing this instrument is here. And also, the  
25 NEI-VFQ, the activities of daily vision scale, and

00047

1 the Vision Care Module 1, which again, in this  
2 particular case applies to more of anger,  
3 loneliness, fear, as opposed to specific  
4 vision-related functional aspects. The DLTV is a  
5 U.K.-based measure developed actually for AMD  
6 specifically.  
7 And again, we'll talk about some of  
8 these, and this is a snapshot of what some of  
9 these instruments measure. As you can see, there  
10 is some commonality in some of these instruments.  
11 As you can see, there is some commonality to some  
12 of these instruments. As you can see on the far  
13 right, the VCM1, however, focuses more on other  
14 aspects rather than functionality; specifically,  
15 they focus on loneliness, anger, fear of loss of  
16 vision, fear of losing more vision, but the other  
17 ones are fairly focused on some of these tasks.  
18 Some of these include driving and some focus more  
19 on subtleties in driving such as driving at night  
20 or difficult conditions or whatnot, where the  
21 other ones have more general impact.  
22 You'll see also some of these focus on  
23 some tasks of caring for themselves, such as  
24 reading medicine bottles, seeing television,  
25 walking up and down stairs. And finally, again,

00048

1 some more of the activity of daily life and you  
2 can see that some of these instruments have more  
3 common elements or common features in the question  
4 sets.  
5 In terms of the psychometrics, we  
6 looked at reliability, stability and  
7 responsiveness initially, and this table focuses  
8 basically whether there was varying degrees of  
9 evidence in favor of these psychometric  
10 properties. NA means it was not evaluated, a zero  
11 means no strong evidence for the psychometric  
12 property was found to evaluate in this particular  
13 trial, a plus means there was moderate evidence in  
14 favor of this property, and two pluses means there  
15 was strong evidence. As you can see, the ones  
16 that were most widely studied in the context of  
17 AMD, the VF-14 and VFQ do have some desirable  
18 psychometric properties for the evaluation of AMD.  
19 Other ones, the DLTV showed promise but did not  
20 have enough details or with enough patients to  
21 make that determination.  
22 In terms of key Question 2, what  
23 factors influence responses to these instruments,  
24 you can see here some of these are very logical,  
25 such as emotional distress and fear, some of them



00049

1 are rather interesting as in this particular case,  
2 this type of fear was sometimes greater in  
3 patients who lost vision in one eye and had vision  
4 loss in the other, as opposed to one who has lost  
5 vision in both eyes. Depression was also a  
6 conflicting factor with influenced response in the  
7 context both of people with pre-condition  
8 depression and ones that developed depression  
9 after the diagnosis or impact of AMD.  
10 In terms of key Question 3 and how  
11 these instruments relate to traditional measures  
12 in terms of visual acuity, what I want you to  
13 focus in on this particular table is that for both  
14 of the instruments that have been widely studied,  
15 the VF-4 and VFQ, there was some correlation of a  
16 score, again, the higher the score the better the  
17 functional, so there was some association on the  
18 score with the level of visual acuity. However, I  
19 want to also point out that there was quite a bit  
20 of spread within these scores, and that goes again  
21 to the point of determining what's important to  
22 the patient, someone with 20/40 vision. Again,  
23 these are measures from a dark room with high  
24 contrast, which is not the world that we live in  
25 and that's the world that we have to deal with

00050

1 every day, especially when looking at these  
2 comparisons. So there is correlation, however,  
3 there is some spread.  
4 In terms of responsiveness, I also want  
5 to point out three particular studies or findings.  
6 One is, Dr. Brechner referred to before, the  
7 submacular clinical trial where there was not  
8 found to be a benefit in visual acuity of the  
9 macular surgery. However, there was an impact in  
10 terms of the VFQ in this case, and again, we have  
11 to look at is that a real effect and again, an  
12 impact that we can detect in measuring visual  
13 acuity in a very controlled setting, as opposed to  
14 one of the real old questions and how we're  
15 dealing functionally with their vision.  
16 The second one I wanted to mention for  
17 responsiveness is the AREDS trial, and in that one  
18 there was responsiveness in terms of loss of  
19 visual acuity and worsening in clinical severity  
20 with a corresponding dropoff. So again, these  
21 patients had progression of their AMD with a  
22 corresponding worsening of their VFQ score, and  
23 usually eight to ten points. Dr. Matcher will  
24 quote to that point and tell you what point loss  
25 means and how that can be quantified more

00051

1 specifically.  
2 And the final point I wanted to mention  
3 in terms of responsiveness is the study looking at  
4 surgery in AMD where you had a very radical  
5 procedure for this condition. However, in that  
6 particular study, there was a strong correlation  
7 of visual acuity improvement, VFQ improvement,  
8 with a significant eight to ten-point improvement  
9 in the VFQ overall score and also improvement in  
10 subscales as well. So having said that, I will  
11 turn it back to Dr. Matcher, who will present  
12 another perspective with the nuts and bolts of the  
13 particular instruments and how these apply to AMD.  
14 DR. MATCHER: Thanks, Ivan. Now what  
15 I'm going to turn to is an issue that was given to  
16 us in the MCAC protocol, or the CMS protocol from  
17 AHRQ, and that is, what do these differences mean  
18 and can we put some clinical personal meaning on  
19 these definitions? So I'm going to focus on this  
20 concept of the clinical meaningful difference at  
21 the forefront of this concept.  
22 There are two general approaches that  
23 were taken in defining what might be a clinical  
24 meaningful difference. One approach is called the  
25 distribution-based approach where we look at the

00052

1 changed scores in longitudinal designs or  
2 differences between group means, which are  
3 cross-sectional designs, and compare against  
4 statistically-derived benchmarks. When you think  
5 about this, some of the earlier psychological  
6 literature talks about the differences that you  
7 might expect to see, or how much difference can  
8 you distinguish between children of different  
9 ages, say between 15 and 16 years old, can you  
10 distinguish between heights and then you look at  
11 the variation of heights and you look at the  
12 standard deviation units of that and say, well, if  
13 you can make that decision, if you're able to see  
14 that, that is a perceptible and meaningful  
15 difference, then the amount of standard deviation  
16 in those 15 or 16-year-olds represents a  
17 benchmark. So it's really in some sense about  
18 psychological perceptions.  
19 The alternative approach would be an  
20 anchor-based approach which compares observational  
21 changes in a longitudinal design, or comparing  
22 between-group differences in a cross-sectional  
23 design. So if someone says well, I got a five  
24 point difference, and you all say yes and walk  
25 away at nearly the same time, does this make a

00053

1 difference to you, do you feel that you've  
2 improved or do you feel that you have worsened it.  
3 So let's first talk about  
4 distribution-based approaches to looking at  
5 meaningful differences in these quality of life  
6 measures. There are two measures that floated to  
7 the surface in our evaluation, the VF-14 and the  
8 VFQ, both primarily because they had been the best  
9 studied and also clinically both had generalists  
10 and ophthalmologists in the group as making sense,  
11 so those were the ones I'm going to focus on right  
12 now.  
13 So if we look at this concept of the  
14 number of standard deviation units that you get  
15 results from benchmark estimates are that if you  
16 have a measured difference of .2 standard  
17 deviation units, that would be small; moderate,  
18 that would be .5, and .8 or more would be a large  
19 difference. So if you can focus in on how much  
20 noise there might be being measured, you can look  
21 at the difference between means given that noise  
22 that's there. So being able to distinguish a  
23 difference of .2 for the VF-14 is 4, with a score  
24 differential in the VFQ of 3, and then 10 and 7  
25 for moderate, and then 16 and 11. Now, we may not

00054

1 have said anything with 4 points, but on both the  
2 VF-14 and VFQ scales we're talking about somewhere  
3 4 and 16 units, or 3 and 16 units on a 100-point  
4 scale, and you notice that the VF-14 has a  
5 slightly higher variance.  
6 Let's move to another measurement. A  
7 couple of ways, or actually three ways we're going  
8 to approach describing what may be a clinically  
9 important difference, first looking at cataract  
10 surgery, which is an intervention that is  
11 generally agreed to have a vivid improvement,  
12 quality of life measures improve by an order of  
13 one standard deviation, this sometimes is called  
14 effect size, typically called effect size. What  
15 that tells you is the clinical meaning of that  
16 difference is certainly below that value and that  
17 is a big difference, so a difference of 14 to 20  
18 points is, whatever, we're interested in something  
19 smaller than that, so at least it brackets it.  
20 Now this again is a slide that Ivan  
21 just showed you a moment ago, but I'm showing to  
22 you for a different reason, namely that you can  
23 see that going from 20/20 to 20/40 vision, on the  
24 VF-14 or VFQ you're talking about a 50-point  
25 change, so that's certainly something that you

00055

1 would all care about, and that difference is on  
2 the order of 10 to 15 (inaudible). For those of  
3 us who wear glasses, perhaps we have a more vivid  
4 image than that, but if you take your glasses off,  
5 you know what I'm talking about.  
6 Now, another way to look at this is to  
7 actually just get down and dirty and look at the  
8 scale, let's just look at the different elements  
9 of the scale and ask yourselves, what point do we  
10 begin to see changes in responses and does that  
11 make sense, and there are three issues that are  
12 raised in these fields. There is an impact of  
13 vision on activity, there is the perception of  
14 life impact of visual change, and there is impact  
15 on the frequency of performance. So I'm going to  
16 go through the questions in each of these domains,  
17 not all the questions, but just illustrative to  
18 give you like, if you like to think four or eight  
19 or ten points is meaningful based on these  
20 questions, then you've got your answer. Okay.  
21 How much difficulty do you have doing  
22 work or hobbies that require you to see well up  
23 close, such as cooking, sewing, fixing things  
24 around the house or using hand tools? Now,  
25 response possibilities ranged from no difficulty

00056

1 at all to stopped doing this because of your  
2 eyesight. So think about this, you've gone from I  
3 don't have any difficulty cooking on the stove,  
4 fixing things around the house, blah, blah, blah,  
5 or I don't do them at all because I can't see,  
6 because of my vision, okay? Now, how many points  
7 is that? Four, okay.  
8 Now, if I ask the same question but I  
9 was talking about driving and talking about going  
10 from I'm driving to I'm not driving because of  
11 eyesight, so think about what driving means to  
12 you, your mother, grandmother or a patient,  
13 driving to not driving because of eyesight, four  
14 points.  
15 Perception on life, again, this is the  
16 VFQ but this is pretty much fairly general, and I  
17 will comment in a minute about the VF-14. I worry  
18 about doing things that will embarrass myself or  
19 others because of my eyesight. Now, I have a  
20 little problem with my vision and occasionally I  
21 will trip over steps and I sometimes get  
22 embarrassed. Now it doesn't keep me from going  
23 out, so I'm probably closer to definitely false,  
24 but if I were to say that it was definitely true,  
25 that would be another four-point change.



00057

1 Frequency of performance, are you  
2 limited in how long you can work or do other  
3 activities because of your vision? None of the  
4 time to some of the time, for these things that  
5 require vision, that would be two points. If I go  
6 from none of the time to all of the time, that's  
7 four points.  
8 Q. And now, just to give you an example  
9 from the VF-14, which has a different scoring  
10 system, which is not exactly, it's more of an  
11 approximation, do you have any difficulty even  
12 with glasses, writing checks or filling out forms?  
13 This is an activity many of us engage in, checks  
14 or filling out forms. No to yes, with a great  
15 deal of difficulty. So if you say no, I have no  
16 difficulty, and then you go to great difficulty,  
17 that's about a five-point change.  
18 So let me summarize by saying first of  
19 all that there are certain validated and  
20 clinically responsive vision-specific instruments  
21 for measuring health-related quality of life in  
22 individuals with AMD, including the NEI-VFQ and  
23 the VF-14 questionnaires.  
24 These vision-specific quality of life  
25 measures have been successfully applied to other

00058

1 eye conditions affecting central vision,  
2 particularly cataracts, corneal diseases and  
3 macular edema.  
4 In terms of psychometric properties and  
5 having looked at other measures and other  
6 conditions, I would say that these have been  
7 appropriately measured in many contexts and for  
8 many patients. Have they been as well as they  
9 possibly could, no, but they certainly rise to a  
10 relatively high level in terms of quality of life  
11 measures that are out there. We do believe that  
12 there are other instruments that are promising and  
13 that require further evaluation, and some of the  
14 instruments being developed looking at task  
15 performance are very promising, are not too  
16 time-consuming and may be standardized.  
17 The VFQ and VF-14 correlate moderately  
18 well with traditional measures but they are not  
19 the same measures, okay? So on the one hand we're  
20 not talking about saying these are related  
21 measures but really, they are complementary  
22 measures.  
23 The VFQ has been found to have  
24 excellent responsiveness where visual improvement  
25 has occurred. I'm going to skip this slide.

00059

1 Well, I'm just going to close on that  
2 slide and just point out, again, that we believe  
3 at this point that the, that the measures have  
4 approached prime time and are appropriate to use.  
5 They do complement the objective measures, they do  
6 correlate and add something to the objective  
7 measures, and a difference in the order of five to  
8 ten points, we believe represents a clinically  
9 important condition.

10 DR. GARBER: Thank you, David.

11 DR. KLEIN: I wanted to thank the  
12 organizers of this meeting for the invitation to  
13 speak today and I will be speaking about grading  
14 of age-related macular degeneration, a subject  
15 which is near and dear to my heart, and I have  
16 been involved with for the past 30 years.  
17 I would like to begin by discussing  
18 some basics of epidemiological studies and begin  
19 with some photography protocols. Almost all of  
20 the studies of grading start with the use of a  
21 fundus camera or fundus cameras with various  
22 settings, defining the magnification, the number  
23 of fields taken, establish a baseline and  
24 frequency of follow-up, the photographer's  
25 training which involves orientation and

00060

1 certification by the central reading center, and a  
2 central review of the photographs along with  
3 feedback.  
4 This is an old photograph taken from  
5 the study showing how the camera was mounted and  
6 used, it used film, the photographer is taking a  
7 photograph of a dilated pupil, and this camera is  
8 still active now 25 years after the first set of  
9 photographs were taken using the same protocols  
10 and methodologies. Newer cameras now involve  
11 digital technologies.  
12 Fundus photography is not an easy  
13 business, it is subject to a lot of artifacts and  
14 some of these are seen here. It's out of focus,  
15 this is a normal fundus photograph of the right  
16 eye and you lost of some of the field, subjects  
17 occasionally blink, the subject to camera distance  
18 will vary, and there are a lot of artifacts that  
19 result from dust and dirt on the lens and  
20 alignment problems. And all of these contribute  
21 to the fundus photo and possible artifact that  
22 you're viewing. With the advent of digital  
23 photography, some of these a minimized in the  
24 system, the photographer can see what they're  
25 grading, what they're photographing.

00061

1 This is an example in one of the  
2 studies we're discussing where we sent back  
3 monthly feedback to the photographers in the  
4 center for evaluation of various types of  
5 artifacts for actual gradability and other  
6 information, so there is a constant feedback to  
7 the study centers to maintain high quality fundus  
8 photography.  
9 This is one methodology that was worked  
10 out for the five or six large multicenter studies  
11 around the world which involved, and clinical  
12 trials which involved taking free-standing fundus  
13 photographs, one centered on the (inaudible) area,  
14 into field three, which, this is taken  
15 (inaudible). This is from a film-based camera and  
16 the film comes back, we began with Kodachrome and  
17 we changed, we now use Ektachrome and are finding  
18 a close likeness to Kodachrome.  
19 And this person actually places a grid  
20 centered on the phobia, which is here, this which  
21 defines the macular area, inspects the macular  
22 area to grade in various locations. The grader  
23 taking this film images, grades them using a light  
24 box, for various lesions. In the digital age,  
25 with digital cameras, they basically come up on

00062

1 the computer and using various software the same  
2 grader can be seen doing it, and it is now also  
3 done on the computer as well.  
4 The grading of early AMD has evolved  
5 since the '60s and '70s by various groups, and  
6 some meetings in Baltimore in the mid 1980s, which  
7 involved trying to standardize some of these  
8 lesions that would be acceptable in terms of how  
9 they were grading them. Lesions that have been  
10 graded by the Drusen, the size of the Drusen, the  
11 type of Drusen, area and location of the Drusen,  
12 and whether there were pigmentary abnormalities  
13 such as increased pigmentation, RPE  
14 depigmentation, and the location of the  
15 pigmentation.  
16 This is just the fundus photograph of  
17 the left eye showing abnormalities and this is one  
18 of the standardized grades that evolved during the  
19 work on age-related maculopathy, and as a result  
20 of these meetings was then standardized in the  
21 international classification scheme, which shows  
22 various size circles, circles with various  
23 diameters, less than 63, 125, 150, various sizes,  
24 and they were the size of intrusion in the area  
25 involving the abnormality.

00063

1 This is just an example of area  
2 demonstrating area size, areas, and the amount of  
3 Drusen, and what they found is if they counted the  
4 number of areas and the number of Drusen in a  
5 certain area, and it's somewhat easier. This is  
6 an illustration of a grid on a left eye with an  
7 area of concern that's about 50 microns in  
8 diameter and many of the epidemiological studies  
9 that have been done show that larger areas than  
10 this are particularly prone to advanced stages  
11 of AMD.  
12 This is a series of photographs from  
13 one of the studies of the population at the time,  
14 and it illustrates that one individual here was  
15 followed over a 15-year period. And starting on  
16 the left, there are very few Drusen here, and over  
17 the 15 years, what we found over the 15-year  
18 period is that a different atherosclerosis will be  
19 found, and some individuals over five years will  
20 go this fast, and some individuals start here and  
21 go back this way and the Drusen will disappear  
22 without any treatment, and also come back again  
23 later as you see here, so this just sort of  
24 illustrates one course.  
25 The grading of late AMD, as shown on

00064

1 these photographs, you see neovascularization with  
2 rising AMD, PRE detachment, subretinal  
3 hemorrhaging, scarring of the macular area, and  
4 geographic atrophy. You sometimes find the  
5 neovascular in one eye and the other eye might  
6 have geographic, and in rare instances the  
7 geographic will become wet after a long course of  
8 this, it will disappear, leaving atrophy.  
9 This is from the AREDS that other  
10 people spoke about, and this has just been  
11 published in the November issues of the Archives  
12 of Ophthalmology, and it describes a more detailed  
13 severity scale. It took over about 20 years of  
14 work to define the natural history of it and this  
15 is a fairly sensitive scale based on the grading  
16 of progression of the disease. This actually took  
17 a long time to evolve, about three-and-a-half  
18 years and a lot of statistical work looking at  
19 each area and thinking about increasing the risk  
20 of more severe stages. These are neovascular, but  
21 this severity scale is, can really only be done by  
22 grading clinically, it's fairly easy to do, but it  
23 does offer some good reliability and actually  
24 reproducibility.  
25 And in the same issue of the November



00065

1 Archives this year is a scale that's based on  
2 large (inaudible) and is a simple clinical scale  
3 based on presence of Drusen, progression, and  
4 stage of the disease. We're still working on the  
5 severity scale which can be used, and this is one  
6 example that might be, it's not being used yet,  
7 but we're looking at this and look at the right  
8 and left eyes and looking at progression of the  
9 scale two or three steps which are clinically  
10 meaningful.

11 I did want to make one point, that the  
12 earliest incidents, although you define it by  
13 large scale fusion where this really begins, we're  
14 finding from some of the population-based data in  
15 Beaver and elsewhere that having multiple small  
16 lesions do increase your risk over a 15-year  
17 period, so it may actually begin earlier than is  
18 currently viewed and we may need to look at these  
19 multiple small abnormalities as a stage of early  
20 AMD.

21 In conclusion, I think grading fundus  
22 photographs using standardized protocols offers an  
23 objective reliable approach to detecting early and  
24 late AMD over time. I have not spoken about  
25 fluorescein angiography and things that you have

00066

1 heard others speak about, but I think a severity  
2 scale that came out of the (inaudible) trial, will  
3 provide a sensitive measure of clinically  
4 meaningful change at early stages of AMD, and it's  
5 important that we have such a scale. Thank you.  
6 (Applause.)  
7 DR. GARBER: Thank you, Ron. George  
8 Williams, from the American Academy of  
9 Ophthalmology.  
10 DR. WILLIAMS: Thank you. The American  
11 Academy of Ophthalmology wishes to thank CMS for  
12 the opportunity to present to this MCAC. My name  
13 is George Williams, I'm an ophthalmologist and a  
14 member of the Medical Center of Ophthalmology, and  
15 I represent the American Academy of Ophthalmology  
16 here today. I served as a researcher in many of  
17 the technologies and treatments that you've heard  
18 of today and I have been both a paid and nonpaid  
19 consultant to several of the companies that  
20 provide these technologies.  
21 This will be a two-fold presentation.  
22 First, Dr. Neil Bressler from the Johns Hopkins  
23 University will present, and then I will close.  
24 DR. BRESSLER: Thank you, George. Good  
25 morning, Dr. Garber and others. I am Neil

00067

1 Bressler, I am an ophthalmologist and a member of  
2 the American Academy of Ophthalmology and am  
3 appearing on their behalf today. I'm also a  
4 retinal specialist, I'm chief of our retina  
5 division at Johns Hopkins University, and have a  
6 clinical interest in clinical trials. I chaired  
7 the submacular surgery trials that you heard about  
8 earlier where we began to look into quality of  
9 life outcomes for macular degeneration. I serve  
10 as chair of a monitoring committee for the  
11 National Eye Institute's intramural research  
12 program and work in a variety of trials, both in  
13 macular degeneration and diabetic retinopathy. I  
14 have no direct conflicts of interest but my  
15 university, the Johns Hopkins University receives  
16 a variety of grants from most of the corporations  
17 that are here today, for research on my behalf.  
18 My wife is a paid consultant to Genentech, Susan  
19 Bressler, and serves on their committees as a  
20 retina specialist.  
21 I would like to discuss briefly where  
22 we are with treating neovascular AMD because it  
23 has been a very fast-moving field in the last two  
24 years. And because of the nature of the talk this  
25 morning, I want to touch on the quality of life

00068

1 measurements and what we have seen and how they  
2 relate to macular degeneration. Dr. Macher and  
3 his colleague very well described visual acuity  
4 and eye charts, compared with how we read or how  
5 we recognize people's faces; it is not an exact  
6 one-to-one correlation. And yet, the primary  
7 outcome for evaluating potential problems with  
8 neovascularization has been the proportion of  
9 people who avoid 15 or more letter loss from  
10 baseline to one year on these charts. These  
11 charts, as you've heard, have five letters per  
12 line, so a 15 or more letter loss would be going  
13 to three lines where the size of the letters  
14 actually double in size, and every three lines  
15 they double again, and that was judged to be a  
16 clinically relevant difference and we believe it  
17 is.  
18 However, there are other important  
19 secondary outcomes that recently have been looked  
20 at in clinical trials. This includes the  
21 proportion net gain. 15 or more letters decline  
22 in one year after macular degeneration does not  
23 cause complete irreversible loss if caught at a  
24 certain time, and some people following treatment  
25 actually can gain three or more lines of vision.

00069

1 So by concentrating on these one-year changes in  
2 vision target quality of life, using the National  
3 Eye Institute visual function questionnaire, these  
4 outcomes as reported by study subjects, because  
5 visual acuity, as has been pointed out, may not  
6 fully describe the influence of choroidal  
7 neovascularization on patient-reported visual  
8 functions. Quality of life outcomes are critical  
9 to patients and therefore to physicians when we  
10 are making treatment decisions.  
11 Now this is mentioned briefly in the  
12 full report and the responsiveness of the NEI-VFT  
13 changes over a period of time. This was done by  
14 the NIH-sponsored AREDS group and is reported in  
15 Number 14 of the Archives of Ophthalmology in 2005  
16 where they showed that changes in the overall  
17 NEI-VFT score and the subscale scores of ten  
18 points or more were associated with a clinically  
19 significant change in vision, that is, a 15 or  
20 more letter change. So it was mentioned that  
21 somewhere between five and ten letters is probably  
22 a relative change, but at least ten or more points  
23 is a definite change, correlating with a 15 or  
24 more letter change.  
25 And it also correlated with people who

00070

1 progressed to the advanced stage of macular  
2 degeneration who had started with the intermediate  
3 stage, a term that we use to describe it as  
4 Drusen, no geographic atrophy in the center of the  
5 retina and no choroidal neovascularization. I  
6 want to discuss the use of ranibizumab, which is  
7 pending FDA approval, and it does have an impact  
8 on quality of life, because ranibizumab compared  
9 with the sham treatment was highly effective for  
10 avoiding 15 or more letter loss. It also  
11 increased the chance of increasing visual acuity  
12 by 15 or more letters, but this was in very  
13 specific subjects, those who had had an initial  
14 visual loss when they walked in of between 20/40  
15 and 20/220, but that's generally what you see when  
16 patients walk in when they're symptomatic.  
17 Now there were some patients with  
18 lesion characteristics seen on fluorescein  
19 angiography without any clinical evidence. Why  
20 was this? This is because it can just stand  
21 still, they may be seen with excellent vision for  
22 years. So often those cases on angiography that  
23 were minimally classic or occult with no classic  
24 that had evidence of previous disease progression  
25 weren't enrolled in these trials, and we're not

00071

1 sure they should be extrapolated to those without  
2 this.  
3 They also didn't enroll patients in  
4 another trial that had predominantly classic AMD  
5 who did not have evidence of recent disease  
6 progressive correction, because we've seen in  
7 general that these cases often deteriorate rapidly  
8 and we would not want them to wait three months to  
9 see if there is progression.  
10 We see (inaudible) observation, not  
11 mainly scarred or blood, and neovascularization is  
12 under the center of the retina. And despite the  
13 results shown at the top of this slide, the  
14 question was, did ranibizumab have similar  
15 beneficial effects on patient-reported quality of  
16 life changes due to vision function as noted for  
17 the visual acuity changes, because these were the  
18 visual acuity changes.  
19 That is the sham, 62 percent of the  
20 people avoided 15 or more letter loss, so not  
21 everybody lost vision assigned to the sham. It  
22 was much better with the two doses of ranibizumab,  
23 where 95 percent avoided 15 or more letter loss in  
24 one of these trials, the MARINA trial, looking at  
25 minimally classic or occult with no classic

00072

1 lesions along with disease progression.  
2 Also important as a secondary outcome  
3 was that only five percent of the sham people  
4 improved by 15 or more letters, compared with the  
5 two different doses of ranibizumab, where 25 to 44  
6 percent improved by 15 or more letters, three or  
7 more lines of vision, where they could see letters  
8 now half the size on the chart where they walked  
9 in at baseline to one year.  
10 So in trying to discuss if this has an  
11 impact on the NEI visual function questionnaire,  
12 MARINA also looked at those. Now baseline, the  
13 score for having this choroidal neovascularization  
14 in the sham was at 71 and in the ranibizumab  
15 group, 58. What is that? Well, that's like a  
16 test score. If you got a 58 on a math test, I  
17 don't think you'd be so happy, and these patients  
18 unfortunately with choroidal neovascularization  
19 start with quite low visual function questionnaire  
20 overall scores.  
21 Now in order to look at a definite  
22 gain, this is not to say the minimum relevant  
23 gain, but a definite gain of ten or more points of  
24 the composite score, we see that even in the sham,  
25 ten percent had a definite gain of ten or more



00073

1 points. How could that be? Well, because some of  
2 them perhaps blood went away, fluid went away,  
3 their vision actually improved doing nothing, and  
4 so their function capacity improved as well, or  
5 they perceived that their function was improving a  
6 bit more. But this is in contrast to ranibizumab,  
7 where we see that 33 percent improved by ten or  
8 more points on the visual function composite  
9 scoring, and this is reflected in the individual  
10 subscales that make up this score.  
11 So for near activities, you can see  
12 that's 44 percent improved by ten or more points;  
13 for distant activities, 40 percent improved by ten  
14 or more points, whereas dependency on others  
15 because of your vision, 30 to 33 percent improved  
16 by ten or more points. Social functioning and  
17 mental functioning, and role difficulties, just  
18 look at how difficult is it to do certain roles  
19 because of your vision. This was seen for general  
20 vision but it wasn't seen for color vision. We  
21 don't expect that this has an impact on changes in  
22 color vision like we saw for peripheral vision,  
23 and that makes sense as well, lending validity to  
24 the tests that were done.  
25 It had no impact on general health.

00074

1 This is a generalized health questionnaire so it's  
2 done in some macular surgery trials, like the  
3 SF-36. You probably won't see any changes in the  
4 outcomes. And for super-ocular changes, although  
5 with driving there was an impact, some people had  
6 a change where they probably went from not being  
7 able to drive or very fearful of driving to now  
8 being able to drive because of the improvement in  
9 vision.  
10 We see that these changes, if we look  
11 at the average change, for example the near  
12 activity score, being able to do near activities  
13 over time, occurred mainly over three months but  
14 there still was some slight improvement between  
15 three and 12 months, and the ranibizumab group is  
16 shown in the colored lines, compared to the sham  
17 group shown in white. This is true for distance  
18 activities as well. And if you look at the  
19 differences at 12 months, these were the mean  
20 changes, and you can see that the mean change for  
21 the ranibizumab group was plus six, and on average  
22 is about ten points difference, the averages are a  
23 ten-point difference with a minus four and minus  
24 five for the sham group.  
25 So the conclusions from this MARINA

00075

1 trial looking at the impact of ranibizumab on  
2 patient-reported vision function show that they  
3 were more likely to report increases of at least  
4 ten points, a level that we judged to be a very  
5 clinically relevant improvement in function for  
6 the NEI-VFT overall score and also all the  
7 subscales that are involved in central vision  
8 activities. These results are consistent and  
9 supported.

10 And what do they do to ophthalmologists  
11 who are deciding to consider this treatment? They  
12 increase our confidence of the visual acuity  
13 outcomes that already were reported, where we  
14 indicated that 95 percent had a 15 or more letter  
15 loss and 25 or 35 percent improved 15 or more  
16 letters. So what's this impact? Well, when we  
17 evaluate ranibizumab-treated subjects, not only  
18 are they more likely to read an eye chart that has  
19 very high contrast better than sham-treated  
20 subjects at one year after entry, but also are  
21 more likely to report clinically relevant  
22 improvement in their vision specific quality of  
23 life outcomes. These results have increased our  
24 confidence regarding decisions of recommending it  
25 for a patient similar to those enrolled in MARINA,

00076

1 and then increased our confidence in why we think  
2 it would be a good outcome for Medicare to  
3 consider when they're deciding on coverage.  
4 I now want to close with how this is  
5 relevant to other diseases that Medicare has to  
6 cover in this population. We've already learned  
7 that this problem, unfortunately, is likely to  
8 double in its incidence over the next 20 years.  
9 What does that mean? Well, in another preference  
10 study report that was also reported in the  
11 Archives of Ophthalmology 2005, we used a series  
12 of questions rather than a standard method where  
13 you need a lot of visual acuity. We asked  
14 questions orally so it doesn't affect patients  
15 that are having problems with vision, but we have  
16 a preference value scale. Like a thermometer,  
17 zero to 100, where 100 is perfect health and  
18 perfect vision. And when we do this, subtotals  
19 for neovascularization is about a 65. Now,  
20 preference value is probably a tricky word to use  
21 when we speak to the lay public, because we don't  
22 prefer to have neovascularization, we don't prefer  
23 to have death. But by using this to compare to  
24 other preference values that were obtained in the  
25 literature, this is an area that there is a big

00077

1 interest in at Hopkins, and just as heart failure  
2 has about a 75. Symptomatic AIDS has about a 58.  
3 Chronic liver failure on home dialysis has about a  
4 55.  
5 Macular subchoroidal neovascularization  
6 is actually involving both eyes, and we show these  
7 scores here. In 792 subjects actually consisting  
8 of people with both one or both eyes involved, a  
9 minor stroke between 50 and 70, and complete  
10 blindness, around 30 to 40. That means that  
11 patients value their vision and do not value  
12 having this choroidal neovascularization. And  
13 when we do cost effectiveness studies using these  
14 preference values as a utility measurement, it  
15 suggests that unfortunately, even these costly  
16 therapies likely wouldn't be chosen by these  
17 patients to be able to preserve their vision or  
18 improve their vision.  
19 So in summary, in terms of visual  
20 acuity outcome, changes in ten or more points on  
21 the NEI-VFT really do represent clinical relevant  
22 endpoints in our recent clinical trials that would  
23 warrant consideration of treatment. And assuming  
24 FDA approval, we don't know if it will be  
25 approved, but assuming FDA approval, ranibizumab

00078

1 and other agents are warranted for coverage for  
2 evaluations, diagnostics and conjunctive treatment  
3 that could lead to decreased numbers of patients.  
4 Anything that will reduce the cost of this would  
5 be very helpful. And, the products warrant  
6 investigations that will determine if other  
7 treatments are non-inferior, or even superior to  
8 the new treatments that are being developed.  
9 Thank you very much.

10 DR. WILLIAMS: As a service to its  
11 members and the public, the American Academy of  
12 Ophthalmology developed a series of guidelines  
13 called preferred practice patterns concerning  
14 characteristics and components of quality eye  
15 care. The preferred practice patterns are based  
16 on best available scientific data, assisted by  
17 panels of knowledgeable healthcare professionals.  
18 In some instances, such as the result  
19 of carefully conducted clinical trials, the data  
20 are particularly well developed and provide clear  
21 guidance. In other instances, the panels have to  
22 rely on their collective judgment and evaluation  
23 of available evidence.  
24 Preferred practice patterns provide  
25 guidance for the practice, not for the care of a

00079

1 particular individual. While they should  
2 generally meet the needs of most patients, they  
3 cannot possibly best meet the needs of all  
4 patients, and the goal of these practice patterns  
5 is not to expect a successful outcome in every  
6 situation. These practice patterns should not be  
7 deemed conclusive of all proper methods of care,  
8 not exclusive of other methods of care reasonably  
9 directed at obtaining the best possible results.  
10 A physician may address every patient's needs in  
11 different ways. The physician must make the  
12 ultimate judgment about the propriety of care for  
13 a particular patient in light of all the  
14 circumstances presented by that patient.  
15 Preferred practice patterns are not medical  
16 standards to be adhered to in all individual  
17 situations.  
18 Preferred practice patterns provide  
19 treatment recommendations. These treatment  
20 recommendations are designed to provide three  
21 primary sources of information. Each preferred  
22 practice pattern should be clinically relevant and  
23 specific enough to provide useful information to  
24 practitioners. Each recommendation that's made is  
25 given an explicit rating that shows its importance

00080

1 to the clinical care process, and this should be  
2 evidence based. The recommendations are rated  
3 according to the importance of care as level A,  
4 this is deemed most important, level B, moderately  
5 important, or level C, which is relevant but not  
6 critical.  
7 The panel also rates each  
8 recommendation on the strength of the evidence and  
9 the available literature to support the  
10 recommendation made. The ratings of strength of  
11 evidence are also divided into three levels.  
12 Level A would include such things as randomized  
13 controlled clinical trials, level two would  
14 include controlled trials without randomization,  
15 cohorts, case control studies, and level three  
16 would consist of studies, case reports, and expert  
17 opinion.  
18 The evidence that is cited is that  
19 which supports the evaluated recommendation as  
20 something that should be performed to improve the  
21 quality of care. The panel believes that it's  
22 important to make available the strength of the  
23 evidence underlying the recommendation, but again,  
24 the preferred practice standards are not medical  
25 standards to be adhered to in all situations and



00081

1 they do not supersede treatments that are deemed  
2 by the treating physician to be in the best  
3 interest of each individual patient. Furthermore,  
4 they should not impede traditional diagnostic and  
5 therapeutic technologies.  
6 The age-related macular degeneration  
7 preferred practice pattern is revised by American  
8 Academy of Ophthalmology on a regular basis  
9 whenever new treatments or technologies occur that  
10 change treatment patterns. The last revision of  
11 the PPP for age-related macular degeneration was  
12 just approved by the board of trustees of the  
13 Academy on September 17, 2005 and is available on  
14 the Academy website. Thank you.  
15 (Applause.)  
16 DR. GARBBER: Thank you. It is now time  
17 for our break. We are a little bit ahead of  
18 schedule, but we will resume at ten o'clock on the  
19 hour, at 10:00 a.m. we will resume.  
20 (Recess.)  
21 DR. GARBBER: Okay. The first speaker  
22 will be Charles Semba, from Genentech.  
23 DR. SEMBA: Thank you for the  
24 opportunity today. I am Charles Semba, director  
25 of vascular and ophthalmic medicine at Genentech.

00082

1 Because of the time constraints, I will be happy  
2 to provide more details in the Q&A session.  
3 My objectives today are essentially  
4 three-fold. First, to highlight key clinical  
5 trial endpoints for patients with wet AMD;  
6 secondly, provide a brief summary of the Lucentis  
7 clinical development program, and last, remarks on  
8 how Lucentis may set a new standard for the  
9 treatment of wet AMD.  
10 Current approved therapies for wet AMD  
11 merely slow the rate of vision loss, and there  
12 remains an unmet clinical need for therapies that  
13 will restore and improve vision. The traditional  
14 FDA benchmark for approval of new AMD treatments  
15 has been to stabilize VA at one year using a  
16 calibrated eye chart. With emerging new  
17 therapies, a potentially higher bar could be  
18 established to help revolutionize treatments to  
19 restore and improve vision. These include better  
20 ways of characterizing gains in VA, assessing  
21 vision-related quality of life, or even assess  
22 improvements in anatomic outcomes using newer  
23 imaging technology.  
24 Ranibizumab is a protein that is  
25 engineered for intraocular use which binds

00083

1 specifically to VEGF-A. VEGF plays a major role  
2 in regulating abnormal blood vessel growth in a  
3 variety of vascular disorders of the eye,  
4 including wet AMD. Ranibizumab binds to VEGF and  
5 prevents its attachment to receptors on blood  
6 vessels, thus inhibiting vascular overgrowth and  
7 the disease process.  
8 Our clinical program studies all  
9 subtypes of wet AMD. We will be filing our BLA in  
10 December and requesting priority review status  
11 with the FDA. Our two Phase III pivotal trials  
12 are MARINA and ANCHOR. Since the submission of  
13 these slides, I'm happy to announce that the  
14 ANCHOR trial met its primary study endpoint, as  
15 did our other trials thus far. Overall, the  
16 clinical program for ranibizumab has demonstrated  
17 improvement in mean visual acuity across all  
18 lesion subtypes in wet AMD and superiority to PDT  
19 in a head-to-head trial.  
20 MARINA evaluated MC/O lesions which  
21 represent approximately 75 percent of patients  
22 with wet AMD, and met its primary endpoint of  
23 less than 15 letters lost on the standard eye  
24 chart. But, more importantly, MARINA also met all  
25 other key clinical endpoints including clinically

00084

1 meaningful gains in vision, overall gain in vision  
2 versus a decline for the control, and restoration  
3 of 20/40 vision, the threshold that allows most  
4 patients to drive a car again. Improvement in VA  
5 was also supported by clinically significant  
6 changes in near and far activities, activities  
7 which allow patients to write a letter, read  
8 street signs, and function in a visually  
9 independent manner.  
10 This slide summarizes the FOCUS  
11 results. However, since the submission of the  
12 presentation, we announced our ANCHOR results and  
13 I wish to briefly review ANCHOR instead. ANCHOR  
14 met its primary endpoint and, similar to FOCUS,  
15 demonstrated an overall gain in mean visual  
16 acuity. ANCHOR and FOCUS both studied the PC  
17 population. However, ANCHOR was a head-to-head  
18 monotherapy trial that demonstrated superiority to  
19 PDT, whereas FOCUS studied the combination of  
20 ranibizumab plus PDT against PDT alone.  
21 We are aware that physicians are  
22 interested in exploring the off-label use of  
23 Avastin. Avastin and Lucentis are different  
24 molecules designed for vastly different indicators  
25 and routes of administration. Lucentis has been

00085

1 specifically manufactured and evaluated through a  
2 large and robust clinical program over the past  
3 several years. We are committed to filing the BLA  
4 and getting Lucentis approved and to patients as  
5 soon as possible.  
6 Our clinical program involves  
7 approximately 1,400 patients followed for up to  
8 three years with close monitoring and  
9 surveillance. Overall, ranibizumab in MARINA and  
10 ANCHOR was safe and well tolerated; the overall  
11 benefits outweigh any potential risks. I will be  
12 happy to discuss any specific questions about the  
13 safety profile during the Q&A session.  
14 In summary, there remains an unmet need  
15 for novel therapies that improve and restore  
16 vision in patients with wet AMD, not merely slow  
17 the rate of decline. Ranibizumab is the first  
18 therapy to demonstrate clinically meaningful gains  
19 in vision overall in a large Phase III program  
20 across a broad wet AMD population. Ranibizumab  
21 meets the outcome evaluations as outlined in the  
22 MCAC questionnaire and may set a new standard for  
23 AMD treatment. I thank the committee for its  
24 attention.  
25 DR. GARBBER: Thank you. The next

00086

1 speaker is Robert Vitti, from Novartis.  
2 DR. VITTI: Thank you. I would like to  
3 thank the committee for inviting me, and I will be  
4 addressing data collection as it relates to wet  
5 AMD and patient registries.  
6 A summary of key points, as you have  
7 heard from others, the clinical management of AMD  
8 is undergoing a revolutionary change with the  
9 emergence of new drugs and treatment strategies,  
10 as well as transition from merely the prevention  
11 of vision loss to gain in visual acuity as the  
12 ultimate treatment goal. The perceived need to  
13 address these changing trends has inspired the  
14 creation of the InSight CNV registry, which is a  
15 disease-based registry for evaluating long-term  
16 outcomes in all treatment options. The registry  
17 purports to address the knowledge gaps in the  
18 recurring care, outcomes data on combination  
19 therapy in particular, and ostensibly will assist  
20 retinal specialists in making informed treatment  
21 decisions for their patients. CMS supports  
22 expanded collection of clinical data through its  
23 CED process.  
24 The goals of data collection are to  
25 document real-world experience with no patient

00087

1 exclusion criteria and no mandated treatment  
2 schedules. It's hoped that a large robust  
3 population will be enrolled and followed in the  
4 long term to ensure clinically meaningful  
5 analysis, and the results that we generate will be  
6 helpful in generating future clinical trials and  
7 will provide a focus on combination therapy.  
8 By way of background on InSight, the  
9 initial registry was launched at an AAO meeting in  
10 2001. It was open to patients with CNV treated  
11 with verteporfin, and while available at the time,  
12 it was a wet-based database sponsored by Novartis.  
13 The next two slides are just examples  
14 of the type of data that we accumulated. This is  
15 a summary of enrollees. You see we have 2,500  
16 patients over 112 physician sites. As we look at  
17 the subset of patients treated with the  
18 combination therapy, we can see that this is a  
19 significant proportion of patients over time.  
20 These next slides are sort of an  
21 example of the type of data that can be obtained  
22 from such set of patient registry. Now, the  
23 registry is disease-focused rather than product-  
24 specific; therefore, it purports to capture the  
25 use of all treatment options for CNV/AMD, again,

00088

1 providing long-term outcome management of the  
2 disease and ostensibly locations that might be  
3 able to enroll candidates for good randomized  
4 controlled clinical trials. Importantly, it is  
5 governed by an independent oversight committee.  
6 This is just a graphic of the geographic  
7 distribution of the participating sites over the  
8 48 states.  
9 Now in conclusion, I don't think it's  
10 arguable that treatment options for AMD will  
11 continue to increase in the near future. Clinical  
12 practice is moving towards combination therapy to  
13 treat this disorder and clinicians in the real  
14 world will need information to help guide their  
15 treatment decisions. Clinical data registries can  
16 accomplish this by combining this data, and also,  
17 some questions can be raised that need to be  
18 answered in a better context with respect to  
19 clinical trials. Thank you.  
20 DR. GARBBER: Thank you. I would like  
21 to remind all speakers to please state your  
22 disclosures before you begin speaking. Our next  
23 speaker will be Tony Adamis.  
24 DR. ADAMIS: Good morning. Thank you  
25 for the opportunity to speak before you. I am



00089

1 Tony Adamis, chief scientific officer at Eyetech,  
2 and therefore have a conflict. My purpose today  
3 is to address certain questions that were posed to  
4 us, number one, the level of evidence that's  
5 required to accept the therapy as being clinically  
6 relevant, and secondly, what is the time frame  
7 under which these patients should be followed.  
8 Macugen received FDA approval in 2004,  
9 and it does two important things. One, it  
10 inhibits abnormal blood vessel growth, and two,  
11 leads to an improvement in visual outcome. Our  
12 program initially was small but has grown now to  
13 over 1,200 patients at 117 sites. As a result of  
14 our Phase I and II studies, it seemed to have  
15 promise, was safe and effective, and therefore, we  
16 proceeded to two rigorously controlled randomized  
17 clinical trials, double masked, which served as a  
18 basis for our approval. We entered all patients  
19 with wet AMD with these lesion sizes and subtypes,  
20 and the endpoint was visual acuity where losing  
21 three lines was highly significant. As you can  
22 see here, there was approximately a 50 percent  
23 benefit to using Macugen, with no variation among  
24 the subtypes, and it preserved visual function  
25 with approximately 35 percent fewer patients

00090

1 progressing to legal blindness when receiving  
2 Macugen. Through two years these effects  
3 stabilized, and there appears to be a continued  
4 benefit of Macugen compared to control.  
5 There were subsets of patients who  
6 gained visual function. Shown at two years here,  
7 35 percent of patients lost not even a letter, 22  
8 percent gained one line, 17 percent gained two  
9 lines, and 10 percent gained three or more lines  
10 out to two years. It appears that there is  
11 continued benefit for continuing the treatment for  
12 two years, at the end of which the results have  
13 been excellent.  
14 Of the side effects that have been  
15 seen, the most severe is the injection procedure  
16 itself and not the drug, as you can see here. And  
17 in the second year, the safety profile is quite  
18 similar. Further, safety risks are modifiable.  
19 With education, with sterile techniques being  
20 applied, the risk for cataract has dropped and  
21 most importantly, retinal detachment dropped  
22 dramatically and statistically was zero at the  
23 end.  
24 The most rigorous data, those required  
25 by the FDA and European authorities are visual

00091

1 acuity, which is highly validated, and the data  
2 that we've presented and others have presented for  
3 the endpoint established a two-year safe and  
4 effective treatment for this disease. Thank you  
5 for your attention.  
6 DR. GARBER: Thank you. Next speaker,  
7 Peter Kaiser, from QLT.  
8 DR. KAISER: Thank you. I am Peter  
9 Kaiser, a retinal specialist with the Cole Eye  
10 Institute, and am appearing today on behalf of  
11 QLT. I as well as my institute receive grants  
12 from QLT, as well as all the companies that are  
13 presenting today.  
14 CNV progresses from oxidative stress or  
15 hypoxia, which causes release of inflammatory  
16 mediators and proangiogenic cytokines, leading to  
17 inappropriate vascular growth, progressing to  
18 exudation, hemorrhage, and then the final aspect  
19 of discoid scar formation which typically causes  
20 permanent loss of vision.  
21 So what do we have that can stop this?  
22 The ideal treatment would be to block this  
23 neovascular stimulus; it would prevent the growth  
24 of abnormal blood vessels, eliminate the edema and  
25 finally, eliminate the retinal scarring that

00092

1 occurs.  
2 Do we have an ideal treatment? Well,  
3 we have treatments that target angiogenesis, and  
4 some of the others we've heard about already. We  
5 have steroids, which also target inflammation and  
6 fibrosis. And finally, we have PDT, which may  
7 damage the vasculature and leads to thrombosis of  
8 the vessels.  
9 Hence, we believe the ideal treatment  
10 may be a combination therapy, for instance, using  
11 PDT to block the vascularization and Macugen to  
12 prevent angiogenesis, leakage and fibrosis. In  
13 ophthalmology and throughout medicine, including  
14 HIV and, more importantly, in cancer, combination  
15 therapies are being administered with good  
16 outcomes, and with the Lucentis results, the bar  
17 has been raised. We need to be better than 95  
18 percent moderate vision loss. We need to be  
19 better than 95 percent in other visual outcomes.  
20 Some of those who preceded me have  
21 indicated that a significant outcome would be a  
22 mean improvement in vision. No other studies  
23 beside the (inaudible) significant visual gain,  
24 three to four line gain in vision. This is very  
25 important to us and our patients, but we also want

00093

1 to see anatomic changes, the lesions decrease in  
2 size, we want to see the retinal scarring  
3 repaired. And finally, taking cost into account,  
4 we need to worry about how many treatments need to  
5 be given to patients.  
6 In a Macugen Phase I/II study, vision  
7 increased using combination of Macugen with  
8 verteporfin. This was a small study at an early  
9 time point, and then Augustin, a larger study  
10 which has been alluded to already. The Focus  
11 study, this was the study design, and the treated  
12 patients had the course that we see, with all the  
13 patients showing a net loss of visual acuity at 12  
14 months. But the combination treatment, and this  
15 is the first clinical trial that actually showed  
16 this, had a net improvement, a difference of 13  
17 letters, and this was an area that we want too,  
18 improvement in visual acuity, a net improvement  
19 over time.  
20 But also, the study indicated that with  
21 combination treatment, there were a fewer number  
22 of treatments required. From baseline, there were  
23 1.3 treatments, versus 3.4 for the verteporfin  
24 alone.  
25 There are also studies now looking at

00094

1 the use of combination treatments using steroids.  
2 There have been published trials and they  
3 generally found combination patients overall had  
4 less than a three-line loss of vision, similar to  
5 the results we were seeing in the Focus study, and  
6 importantly, also seeing improvement in vision  
7 with 18 percent having a significant improvement  
8 in vision. And again, the number of treatments  
9 were dramatically less than the 3.4 we saw for  
10 verteporfin alone.  
11 There are also case series looking at  
12 steroid monotherapy, and a study looking at a  
13 sustained release steroid implant.  
14 In conclusion, we have a  
15 pharmacological rationale to use combination  
16 treatment, PDT and anti-VEGF drugs in treating  
17 neovascular AMD. The evidence does not support  
18 steroid monotherapy at this time. Photodynamic  
19 therapy and anti-VEGF as combination therapy has  
20 improved visual acuity outcomes and reduced the  
21 need for treatment, but these results were in  
22 small studies, and we will need randomized  
23 clinical trials to verify these results. Thank  
24 you.  
25 DR. GARBNER: Thank you. The next

00095

1 speaker is Jonathan Javitt, who has multiple  
2 affiliations.  
3 DR. JAVITT: Thank you for inviting me  
4 today. Over the years I have consulted for just  
5 about every organization in the room, including  
6 CMS back when it was HCFA.  
7 As you just heard, one of the latest  
8 trends among retinal specialists is to combine  
9 intravitreal steroids with PDT to treat AMD.  
10 Ocular steroids are well known to cause glaucoma,  
11 and Kenalog contains a black box warning against  
12 its ophthalmic use. Conventional wisdom tells us  
13 that steroids dry up the lesion and certainly OCT  
14 and FA's look better. Anecdotally, glaucoma and  
15 cataract specialists are reporting an uptick of  
16 patients presenting with glaucoma and cataract  
17 subsequent to receiving intravitreal steroids. No  
18 clinical trials have ever shown the safety and/or  
19 efficacy of this practice and there has been no  
20 long-term follow-up series reported yet.  
21 There are various techniques for how  
22 one uses it for analytic purposes, but how we did  
23 it is all in the slides. Basically we set up  
24 three study cohorts and one controlled cohort, a  
25 cohort of those who received PDT and no

00096

1 intravitreal steroid injection, those who received  
2 neither PDT nor steroids, those who received  
3 steroids only, and those who received steroids  
4 plus PDT. And what you can see is a substantial  
5 difference in the likelihood of onset of glaucoma  
6 among those who received intravitreal steroids and  
7 those who received intravitreal steroids plus PDT  
8 compared to those who received neither steroids  
9 nor PDT over the course of 1,250 days of  
10 observation. This is a survival curve showing  
11 there is a glaucoma-free interval.  
12 So if you do that as a Cox proportional  
13 hazards model and look for the risks, intravitreal  
14 steroid injection alone places a 4.2-fold  
15 increased risk of the whole onset compared to no  
16 steroid injections, and Visudyne plus intravitreal  
17 steroid injection is associated with a 5.8-fold  
18 increase in risk of glaucoma in these patients  
19 compared with no steroid injection or PDT alone.  
20 There was no appreciable risk for cataracts, by  
21 way of contrast.  
22 So where are we? Well, the use of  
23 intravitreal steroids, not surprisingly, seems to  
24 be associated at least in those Medicare  
25 beneficiaries who receive the therapy, presenting



00097

1 a higher risk for subsequent glaucoma than having  
2 no therapy or PDT alone. Steroids plus PDT are  
3 associated with an even greater risk. And there  
4 is no detectable increased risk of cataracts. So,  
5 I guess my point to you today, and I'm speaking  
6 specifically to Question 4B and Question 5 before  
7 the panel, is as we race for the cure, as we  
8 search for efficacy, we really have to keep our  
9 eye on safety as well.

10 The Secretary's office of services  
11 identified drug safety as a key component of this  
12 500-day plan, and the safety risk that's  
13 identified associated with the use of PDT or  
14 steroids is not widely appreciated or talked about  
15 in the retinal community today, but what the risk  
16 of glaucoma is, it's increasingly talked about and  
17 the warning on the steroid box is, you know,  
18 clearly there. At the very least, a confirmation  
19 study ought to be undertaken with a real eye on  
20 safety before there is increased proliferation of  
21 intravitreal steroid injection, and as we continue  
22 the off-label use of new medications even absent  
23 FDA-monitored clinical trials and in the absence  
24 of FDA premarket approval, it is critical that we  
25 make a real effort to monitor ocular and systemic

00098

1 safety issues. This is particularly true in  
2 medications with documented risks of severe  
3 adverse events such as stroke. Thank you for  
4 inviting me here.

5 DR. GARBER: Thank you. Next speaker,  
6 Carmen Puliafito, of the Bascom Palmer Eye  
7 Institute.

8 DR. PULIAFITO: Thank you very much. I  
9 am a consultant for Valcon, Eyetech, Genentech,  
10 and Zyte, and as co-inventor of OCT, I  
11 participated in international property agreements  
12 with my former employer, the Mass Eye Ear  
13 Infirmary. I would like to speak to the use of  
14 OCT in making clinical decisions in retinal  
15 pharmacotherapy.  
16 OCT is a technology which takes  
17 multiple scans of the retina and gives us  
18 transverse information about the retinal  
19 structure. We receive information about fluid and  
20 blood, traditionally we used angiography, but we  
21 know now that there are structural elements to  
22 vision loss, macular edema, fluid under the  
23 retina, PED, and OCT sees that.  
24 What are the advantages of OCT? It's  
25 rapid, non-invasive, pain and risk-free, and it

00099

1 provides qualitative cross-sectional imaging and  
2 ultimately provides quantitative data. What do we  
3 use it for? It identifies fluid in the macula, it  
4 shows response to therapy, it shows when a  
5 treatment effect is wearing off, and it decreases  
6 the overall number of treatments by allowing the  
7 physician to treat only when needed. So we  
8 believe that this technology is broadly applicable  
9 for all anti-VEGF treatments, and have found it  
10 useful in using Macugen, ranibizumab and other  
11 agents.

12 At Bascom Palmer, Dr. Philip Rosenfeld  
13 is doing a prospective study in which OCT is used  
14 to evaluate eyes in a very aggressive way  
15 following initial therapy and then we are going to  
16 evaluate its usefulness in making clinical  
17 decisions. This is an eye treated with  
18 ranibizumab and you can see over the first seven  
19 days a restructuring, remodeling of the retina  
20 correlating with visual improvement. So we view  
21 this as valuable to clinicians going forward and  
22 as we look further out, here's 30, 60 and 90 days  
23 after initial treatment, we could monitor retinal  
24 structure and subsequently make decisions.  
25 There is a correlation between central

00100

1 retinal thickness and visual acuity in patients  
2 treated with anti-VEGF agents. Here you see a  
3 decrease in retinal thickness over the first three  
4 months of therapy with ranibizumab, correlated  
5 with changes in visual acuity.  
6 Fluorescein angiography, which has been  
7 the gold standard to date, does have a slight risk  
8 of anaphylaxis, which does require the injection  
9 of fluorescein, and it is a more expensive test.  
10 And here is that same patient examined with  
11 fluorescein angiography. What you will see if  
12 you're not an ophthalmologist is that this is a  
13 qualitative change and we get lots of structural  
14 information.  
15 So I would agree with Dr. Bressler, we  
16 need to do more studies looking at the clinical  
17 decision-making process around the use of  
18 anti-VEGF agents because we know that they are  
19 going to be widely employed, and the greatest  
20 value of OCT is probably the demonstrated  
21 treatment effect, and then following patients and  
22 withholding therapy until needed. Thank you very  
23 much.  
24 DR. GARBER: Thank you. Our next  
25 speaker, Timothy Stout, from Prevent Blindness

00101

1 America.  
2 DR. STOUT: Hi. Thank you for asking  
3 me to present today. My conflicts of interest  
4 include being a consultant to Pfizer, and my  
5 institution receives grants from many of the  
6 companies that are here presenting.  
7 I was specifically tasked today to  
8 present to you questions about point one and  
9 modified point five. As you know, it's been  
10 mentioned before that age-related macular  
11 degeneration is a significant problem, it's  
12 estimated that in the next 15 years the number of  
13 people severely affected will move from 1.7  
14 million to nearly three million people.  
15 How do we currently follow these  
16 people? Question 1 in the form was, which of the  
17 following tests are reasonable ways of following  
18 patients who have age-related macular  
19 degeneration? We performed a 23-physician  
20 telephone survey to ask that question. Half of  
21 the people that we surveyed were in academic  
22 centers, half the people were in private practice,  
23 all of the physicians were retinal-only practices,  
24 and two-thirds of them did surveys off medical  
25 records.

00102

1 The question was posed on a one to four  
2 scale, and these are the results. People felt  
3 that visual acuity, that they were highly  
4 confident that was an important question to ask in  
5 assessing how pervasive AMD was. The VFQ 25,  
6 highly confident. The Amsler grid, that was  
7 somewhat confident. Glare recovery was minimally  
8 confident. Contrast sensitivity, somewhat  
9 confident. Fluorescein angiography, highly  
10 confident. Visual fields, somewhat confident.  
11 Ocular coherence tomography, highly confident. So  
12 that's the results of a poll, and all these  
13 retinal physicians were on the west coast.  
14 They felt that the gold standards,  
15 visual acuity, fluorescein angiography and ocular  
16 coherence tomography defined, were best employed  
17 as short-term evaluations over three months.  
18 Obviously over a longer period of time, visual  
19 acuity, fluorescein angiography and VQF 25 are  
20 used as well.  
21 I will skip over our current  
22 treatments.  
23 The last thing I was asked to do was  
24 briefly mention what are our current tasks in our  
25 knowledge regarding macular degeneration and what

00103

1 kinds of questions should be answered, and as you  
2 know, there is quite a bit of information on  
3 locally delivered therapy. Some of these  
4 specifically target vascular endothelial growth  
5 factor, some of these include the neuroprotective  
6 and anti-antigen factors, (inaudible) steroids,  
7 which are certainly interactive, and other drugs  
8 that interact with proteins.  
9 In discussing the current gaps of  
10 knowledge with these retinal specialists, these  
11 were questions that came up over and over. One  
12 was the genomics and proteomics of disease  
13 susceptibility and progression. The cell biology  
14 of the dry form of macular degeneration, how the  
15 retinal cells die, what's the process of that,  
16 what is actually taking place. What is the  
17 potential and practicality of stem cells for  
18 either neural activity or endothelial derivation,  
19 how can they be manipulated and how can they be  
20 put into good clinical use. People felt that  
21 there were a number of questions about vascular  
22 permeability, and although we have heard a lot  
23 about anti-VEGF growth factors, certainly that's  
24 not the only factor involved. And then a final  
25 comment that we heard repeatedly, what's the role

00104

1 of the immune system, specifically complement  
2 factors in age-related macular degeneration. So  
3 those are the some five points that repeatedly  
4 came up over these phone interviews that people  
5 shared, and we feel these are current gaps in our  
6 knowledge and deserve attention. Thank you very  
7 much.

8 DR. GARBER: Thank you. I think this  
9 is a dual presentation, T. Mark Johnson and Bert  
10 Glaser, from the National Retina Institute.

11 DR. JOHNSON: Thank you. My name is  
12 Mark Johnson, I'm a practicing vitreal retinal  
13 surgeon. I've been an investigator in all the  
14 trials that we've discussed this morning but I  
15 have no direct financial interests.  
16 The points that we would like to bring  
17 forth this morning are three. One is that  
18 improvements in traditional imaging techniques  
19 will offer improved both outcome measures as well  
20 as methods of understanding the pathophysiology of  
21 macular degeneration. Secondly, as has been  
22 alluded to, the combination of traditional  
23 techniques including laser with new  
24 pharmacological techniques will offer improved  
25 opportunities for visual outcomes. And thirdly,



00105

1 combining these two points and improving our  
2 understanding of macular degeneration will allow  
3 us to develop individualized treatment directed at  
4 specific subtypes of macular degeneration.  
5 This list is familiar from this  
6 morning's discussions. New technologies in terms  
7 of imaging such as dynamic or ICG imaging, OCT and  
8 possibly macular microphoto imaging. Current  
9 therapy approaches including laser treatments as  
10 well as pharmacologic treatments are now at the  
11 point where we can begin to combine treatments.  
12 Angiography continues to improve and  
13 provide important information on vascular  
14 physiology. The advent of dynamic imaging allows  
15 us to better understand not only the  
16 pathophysiology of what macular degeneration is,  
17 but also begins to identify subtypes of macular  
18 degeneration that may differ from a biologic and  
19 treatment perspective, including primary  
20 intraretinal angiomatous proliferation or RAP  
21 lesions, as well as polypoidal neovascularization.  
22 This is a high speed ICG of a patient,  
23 and you can see that the high speed ICG actually  
24 allows us to identify and characterize these  
25 lesions, particularly isolating intraretinal from

00106

1 the subretinal components of these  
2 vascularizations, thus allowing us to apply  
3 directed therapy.  
4 Laser treatment does continue to offer  
5 certain advantages, including the ability to  
6 provide limited therapy with a limited number of  
7 treatments, obtaining rapid and stable results, as  
8 well as the opportunity to be combined with  
9 pharmacologic therapy.  
10 In this case, using a high speed ICT,  
11 treatment is applied to a very isolated area of  
12 the lesion and when combined with a single  
13 injection of intravitreal medication, provides  
14 both objective and subjective conclusory responses  
15 which sustain at least six months out in our  
16 experience to date.  
17 I'm now going to allow Bert to conclude  
18 our presentation.  
19 DR. GLASER: My name is Bert Glaser,  
20 and I am a practicing retina specialist and I have  
21 been involved in many of the clinical trials that  
22 have been discussed today, but I have no financial  
23 interest in any of the pharmacologic companies.  
24 We talked about the use of high speed  
25 ICG angiography and that addresses one of the

00107

1 questions which talks about, 1B, which other  
2 currently available outcome/intermediate measures  
3 should be considered? And we want to emphasize to  
4 you, the use of dynamic high speed ICG angiography  
5 provides much more detailed views of the  
6 neovascular process.  
7 In this case here, this is of course an  
8 angiogram showing the extensive lesion.  
9 Unfortunately, the movie didn't play before, but  
10 the movie shows how you can identify each  
11 individual vessel within this and identify vessels  
12 that are actually forming the feeder, like a stem  
13 on a leaf, that you could then isolate and treat  
14 in a very localized fashion, and this is another  
15 approach to refine those treatments.  
16 Here you see a patient where it shows  
17 the fluid under the retina and then three days  
18 later after treating the feeder vessel, you were  
19 able to collapse it and improve vision. In  
20 addition, in this series, and this is a series  
21 that's going to be presented very soon at a  
22 national meeting, you combine this with the  
23 intravitreal (inaudible). And normally when you  
24 see these feeder vessels, you're not going to  
25 retreat several times in the first three months

00108

1 and then retreat subsequently over the year.  
2 However, in this series of patients, we  
3 were able to combine it with individual treatment,  
4 and we reduced the need for retreatment  
5 substantially, and only one patient out of 17  
6 needed to be retreated within a six-month period.  
7 It was a small group, but at least some data  
8 starting to get at the multiple different types of  
9 treatment that we can use and looking at  
10 parameters including visual acuity, but also the  
11 number of treatments necessary. Intravitreal  
12 injections once a month or once every six weeks  
13 are rather daunting for a patient.  
14 Laser should not be discounted and it  
15 should be kept in the mix, we believe, because it  
16 is a relatively low cost reproducible method.  
17 Also, improvement in imaging techniques is going  
18 to be very important, and combination treatments,  
19 again, are likely to play an increasing role, as  
20 you have heard a lot this morning.  
21 Future trials are going to be  
22 important. A lot of small pilot studies may be  
23 necessary to help sort this out because the number  
24 of patients with macular degeneration, while  
25 large, is not infinite, and that's going to be one

00109

1 of our big challenges, how do we get enough data,  
2 enough patients to be able to assess all these  
3 permutations that we need to and want to look at,  
4 and that is a true challenge. Quality of life  
5 analysis and also a cost analysis is going to be  
6 very important, not only cost analysis from the  
7 provider standpoint, but a cost analysis from the  
8 patient standpoint in terms of time out of work,  
9 time out of other productive activities, since all  
10 of us who are older and healthier are working  
11 longer, so I think that needs to be put into play.  
12 So in summary, we want to emphasize the  
13 importance of new imaging techniques to be  
14 combined with existing techniques. We want to  
15 also emphasize and join the people who were  
16 talking about the importance of combined treatment  
17 and broaden the number of permutations that we can  
18 include and the way we measure success of these  
19 combined treatments. And the ultimate goal is to  
20 remember that AMD is a complex varied disease and  
21 we really need to have the goal of being able to  
22 individualize treatment so we can improve outcomes  
23 for each individual patient at their particular  
24 stage and type of disease. Thank you very much.  
25 DR. GARBBER: Thank you. Our final

00110

1 scheduled speaker is Jason Slakter.  
2 DR. SLAKTER: Thank you very much.  
3 Jason Slakter, practicing retinal physician in New  
4 York City. Transportation for this meeting was  
5 provided by Alcon Laboratories. I have had  
6 consulting and working relationships with I think  
7 all of the companies involved in AMD treatment  
8 today, but I'm really here to discuss what I think  
9 is important from a patient point of view.  
10 If we can skip directly to slide 18,  
11 you have already heard about some of the  
12 monotherapy approaches, including the use of  
13 Macugen, Lucentis, Retaane and other treatments.  
14 We've heard already over and over again about the  
15 use of combination therapy and I think as a group  
16 we're going to have to deal with it because if you  
17 haven't figured it out already, you will certainly  
18 have to deal with it in the future.  
19 Monotherapy for CNV has certainly given  
20 us some remarkable results. We went from acute to  
21 moderate vision loss and more recently to a state  
22 where we can often offer the patient the  
23 opportunity for improvement in visual function.  
24 The problem is, some people say look at the data.  
25 We now have 95 percent of the patients who have

00111

1 less than three-line vision loss, we have the  
2 ability to take 30 percent of our patients and  
3 give them three lines of vision gain, look how far  
4 we've come. I strongly urge asking, what have you  
5 done lately? 30 percent is great, give me 50, 70  
6 or 90. When I walk out of my office with every  
7 patient 20/20, I'm satisfied.  
8 So I think we need to look forward. We  
9 need to start with a combination of therapies to  
10 make better vision outcomes, decrease the growth  
11 of CNV, which I think will translate into better  
12 visual function. And reduce the risk of vision  
13 disturbances both from an anatomic point of view  
14 and quality of life point of view.  
15 We all know that there are many steps  
16 involved in the angiogenic cascade of a downfall  
17 in vision, and the nice part of the complex system  
18 is that we have multiple points at which we can  
19 attack the process, and we can inhibit or reduce  
20 the growth of neovascularization. We've heard  
21 already about the angiogenic growth factors such  
22 as VEGF, there are inflammatory mediators, there  
23 are cytokines involved in the process, and  
24 obviously many of them are already in development  
25 in fibromacular tissue and certain growth factors

00112

1 associated with those.  
2 We do have experience to date, although  
3 in a limited fashion, with verteporfin therapy or  
4 PDT plus a number of other agents, and I will  
5 quickly review a couple of them. We've already  
6 heard from Peter Kaiser about the Spaide trial for  
7 steroids, this was the first published trial, a  
8 small number of patients given both photodynamic  
9 therapy and steroid, and that was the first study  
10 that we showed the improvement in visual acuity  
11 rather than simply the stabilization or less loss  
12 of vision that we were used to. Most importantly,  
13 again, this from our point of view will be very  
14 critical to look at, the number of treatments was  
15 reduced, and that is very important from a quality  
16 of life point of view, from a cost point of view  
17 and, as we've heard already, from a safety point  
18 of view. Fewer treatments and better visual  
19 outcome means a better life for our patients.  
20 The larger study by Augustin in Europe  
21 looked at 199 patients with PDT and triamcinolone,  
22 he saw an average of about 1.25 treatments, he did  
23 see some problems but visual acuity was improved,  
24 so he said we have to look at safety, but also  
25 outcomes. There are a number of clinical trials



00113

1 currently under way to answer the question in a  
2 statistical manner whether or not combinations of  
3 PDT and steroid will in fact improve visual  
4 outcomes.  
5 We've already heard the combination of  
6 Lucentis with photodynamic therapy had better  
7 vision outcomes than we would normally have  
8 expected, PDT alone 68 percent, versus 91 percent  
9 with a combination treatment, with reduction in  
10 vision loss, improvement in visual acuity, better  
11 with combination therapy and again, fewer  
12 treatments of PDT in the combined treatment group  
13 than in the treatment with verteporfin therapy  
14 alone.  
15 Anecortave acetate, the final trial, as  
16 discussed earlier, is a treatment delivered  
17 outside the eye on a six-month basis, and compared  
18 it to combination therapy or with sham treatment  
19 groups, and what was found was that although  
20 visual acuity was declining in this small study,  
21 the treated with PDT alone didn't work and the  
22 combined treatments did better. What was  
23 interesting was that the use of anecortave with  
24 PDT, again, reduced the need for verteporfin  
25 treatments and in small groups receiving both

00114

1 showed improvement in visual outcome, suggesting  
2 that less may in fact be more in the long term.  
3 What can we conclude from these  
4 studies? Monotherapy, while exciting for CNV and  
5 raising the bar as far as treatment of visual  
6 function, does have limitations for our patients.  
7 30 percent is great, 50 percent would be better,  
8 and 100 percent would be ideal as far as visual  
9 improvement. Certainly there is a clinical and  
10 preclinical rationale for the use of combination  
11 treatments such as PDT and other agents for  
12 treating neovascular AMD. And we want to look at  
13 some of these combination therapies that improve  
14 visual outcomes, reduce the need for treatment at  
15 follow-up, and the results from the trials that  
16 are ongoing now hopefully will establish a  
17 magnitude of benefit.  
18 I just want to conclude with one  
19 addition. We've heard about the VFQ study and we  
20 all know about the visual function 14  
21 questionnaire. I noticed that in this discussion  
22 that something is missing. We looked at the  
23 impact of the disease on vision, we looked at the  
24 impact of the disease on quality of life. We have  
25 to start looking at the treatment on quality of

00115

1 life, let's look at the treatment and decide if  
2 the treatment had an impact on our patients, and  
3 that's going to be important as we assess these  
4 treatments in the future. Thank you very much.  
5 DR. GARBER: Thank you. Thank you to  
6 all of the scheduled speakers for some very  
7 informative presentations, and I hope you will all  
8 be able to stick around for the session where we  
9 will be, have additional questions for you.  
10 We now enter the period of open public  
11 comments. There are nine people who signed up. I  
12 would like you to line up by the microphone up  
13 here in the front of the room, not on the podium.  
14 Please state your name, your affiliations and  
15 disclosures, please. You will have two minutes  
16 each. Two minutes. These are the people who  
17 signed up as open public speakers.  
18 MS. EARNSHAW: I'm Stephanie Earnshaw.  
19 My travel here was funded by Eyetech and Pfizer,  
20 and I do consultations for Eyetech and Pfizer.  
21 When we considered cost analyses becoming more and  
22 more important, these data have been supplemented  
23 with health economics perspectives. Visual  
24 severity and visual acuity have been key in  
25 performing economic valuations of cost expected

00116

1 analyses and cost unit analyses when evaluating  
2 treatment for AMD, and this is all due to  
3 availability of the data that is out there. So I  
4 just wanted to bring that out, that visual acuity  
5 is important when looking at cost.

6 DR. GARBER: Thank you. We have eight  
7 other people signed up here as open public  
8 speakers. Okay.

9 DR. FRIBERG: I'm Tom Friberg, from the  
10 University of Pittsburgh. I was one of the  
11 principal investors in the AREDS trial and I've  
12 been involved in almost all these trials that have  
13 been discussed. My way was paid by Pfizer today.  
14 I'm here primarily as an advocate for  
15 my patients today and that is, with CMS and MCAC,  
16 your position is really more influential than  
17 ever, and many of us and many of our patients make  
18 the assumption that if something is Medicare-  
19 approved, that it is both effective and safe. I  
20 have more problems with safety rather than  
21 efficacy. If we try it and it doesn't work,  
22 sometimes these diseases are difficult, but with  
23 respect to safety, I think we have a higher  
24 barrier.  
25 I am particularly concerned about the

00117

1 use of anti-VEGF agents that have not been  
2 carefully studied and I am afraid or I'm worried  
3 about the low event rate that occurs with some of  
4 these safety issues requiring actually large  
5 numbers of patients to be evaluated. And I really  
6 do hope that we don't become where a treatment  
7 that is improved, at least by MCAC or CMS, turns  
8 out to be another Vioxx. Thank you.  
9 DR. GARBER: Thank you.

10 DR. GRAGOUDOS: I am Evan Gragoudos and  
11 I am from Los Angeles and am a retinal specialist  
12 and director of a retinal service there. I would  
13 just like to make only two comments.  
14 One is that you have quite a lot of  
15 studies that now are at different stages, and I  
16 would like to emphasize as far as clinical trials,  
17 I was involved in a trial concerning the dry type  
18 of the disease, and we had two studies that were  
19 randomized to show benefit, and although the  
20 numbers were small, we did a big study, and the  
21 feeling of the group, it was not good for  
22 microgeneration and also the side effects of the  
23 disease. So you have to look at randomized trials  
24 because A and B is a very important decision and  
25 could be easily deceived.

00118

1 The other issue is, I think we have to  
2 insist on visual acuity as the primary endpoint.  
3 All of the other endpoints such as individual  
4 acuity, quality of life, et cetera, et cetera, are  
5 important, but by far, I think the visual acuity  
6 is the most important point for judging these  
7 results.

8 DR. GARBER: Thank you.

9 DR. SANDERS: I am Reginald Sanders, a  
10 practicing retinal specialist in the D.C. area and  
11 I also represent the American Society of Retinal  
12 Specialists. I briefly would first like to thank  
13 you for the opportunity to speak and I'd like to  
14 admire the presentations done today.

15 I would just like to say that as a  
16 practicing retinal specialist, if clinical trials  
17 are done for a certain medication, that's then a  
18 starting point for us, but our clinical experience  
19 in the field as we find out what really works, and  
20 certain drugs that show clinical benefits in a  
21 study, we find out and the point has been made  
22 about the outcomes for treatment and their  
23 efficacy don't always bear out. So I would like  
24 to plead to the panel and CMS to allow us as  
25 practicing retinal specialists, to have, as best

00119

1 we can, unfettered access to the different  
2 treatments so we can decide for ourselves and see  
3 for ourselves what works and doesn't work for our  
4 patients.

5 DR. GARBER: Thank you. Any other  
6 public comments? Then we will -- we're a bit  
7 ahead of schedule, but the next agenda item is for  
8 questions to presenters. So this is for the MCAC  
9 panel members to ask questions of the presenters.  
10 Your questions of course should be directed toward  
11 information that will help us to answer the voting  
12 questions, so let's open it up to the panelists.  
13 James.

14 DR. PUKLIN: I would like to ask  
15 Dr. Brechner if he would care to elaborate on some  
16 of these long-term potential complications that  
17 smaller studies for a shorter period of time may  
18 not reveal. Do you have anything in mind?

19 DR. BRECHNER: For instance, the use of  
20 intravenous Avastin on label for colon cancer has  
21 a safety profile that's not so good, and I think  
22 the product has a black box warning, although the  
23 disease is very serious, and assuming the risk of  
24 taking IV Avastin might be okay for them. But for  
25 us to make the assumption that because we are

00120

1 putting such a small amount of drug inside the eye  
2 when we're using intravitreal Avastin, that there  
3 is no way that this could cause any serious side  
4 effect, I think this might be very misleading. I  
5 mean, we have other agents that we use in  
6 ophthalmology where we can put one drop of let's  
7 say Asimilol on a person's eye and they can have a  
8 cardiovascular side effect or event.  
9 So these drugs we use are potent. I'm  
10 not saying that Avastin might not be a  
11 breakthrough with respect to its treatment  
12 efficacy, but I do want to make sure that it's  
13 safe, and I don't believe that the safety issues  
14 have been well worked out, and I don't think it's  
15 really correct to have our Medicare recipients be  
16 the ones doing that safety trial.  
17 DR. GARBER: Mark Fendrick.  
18 DR. FENDRICK: Thank you, Alan. I  
19 actually have a question for both Dr. Brechner and  
20 the Duke team. One of Mr. Caplan's first comments  
21 was that 90 percent of the people who have macular  
22 degeneration have the dry type, which we've  
23 actually heard nothing about. And I was concerned  
24 by the semantics when Dr. Brechner said, which I'm  
25 not sure is correct, that you can either go to dry



00121

1 or wet, and you don't proceed through dry to get  
2 to wet. So if you could just inform us a little  
3 bit more about the natural history of someone who  
4 is diagnosed with age-related macular degeneration  
5 which is dry, which is far more common to the wet.  
6 And then to the Duke team, what do we  
7 know about the quality of life study specifically  
8 in the 10 percent or less of people who actually  
9 have the wet lesions which all of the treatments  
10 have focused on? I guess I'm trying to help get  
11 to Dr. Brechner's point, that we've heard nothing  
12 about the substantial majority of the people, how  
13 we diagnose them, how we monitor them before they  
14 get to these fine specialists who provide care to  
15 them. Is there anything we can do or anything we  
16 can just think about doing for restoring these  
17 people's dry lesions before they go onto wet?  
18 DR. BRECHNER: Within CMS, it is felt  
19 that the geographic atrophy is more serious than  
20 just the early type where you just get bruising.  
21 The schematic was attempting to show that even  
22 though it was classified as late stage  
23 maculopathy, it was a result of  
24 neovascularization.  
25 DR. FENDRICK: And does it affect most

00122

1 people?

2 DR. BRECHNER: Yes. It's not  
3 essential that we see it, but it may pop up a  
4 little.

5 DR. FENDRICK: Are the predictors of  
6 dry to wet understood, or not understood?

7 DR. BRECHNER: Yes, they are  
8 understood. I mean, things we talked about are  
9 predictors for progression of AMD, and we don't  
10 know exactly why some people progress and some  
11 people don't. With respect to the dry AMD, the  
12 question that you asked, the one study that I did  
13 not put in was the study of people who had not  
14 progressed to wet disease and that was, that  
15 predominantly people who had dry macular  
16 degeneration or no macular degeneration at all,  
17 and they were randomized to the different kinds  
18 of, you know, vitamin treatments, et cetera. So  
19 that study was significant in terms of what we  
20 have to offer, it was a combination of  
21 antioxidants and zinc.

22 DR. FENDRICK: So, do people with dry  
23 lesions have severe vision problems as well? I'm  
24 trying to figure out, if such a small percentage,  
25 or if 90 percent have this, these people with dry

00123

1 lesions that actually present to us in primary  
2 care than this small percent of wet lesions.  
3 DR. BRECHNER: There is a whole  
4 spectrum. You can have signs of macular  
5 degeneration without the symptoms, but one of the  
6 slides that I showed showed the kind of things  
7 that can happen with early macular degeneration  
8 where there is a little bit of blurring in central  
9 vision, but that's just one person. So there is  
10 that whole gamut, the whole spectrum of effects on  
11 vision.  
12 DR. GARBER: Ron, did you want to make  
13 a point on that question?  
14 DR. KLEIN: I think we use the terms  
15 dry and wet more as a way of referring to patients  
16 what type of macular degeneration they may have,  
17 but in terms of affecting visual acuity itself,  
18 the advanced stage of macular degeneration that we  
19 would be concentrating on, and they are the  
20 geographic atrophy and the neovascular AMD that  
21 has severe effects on visual acuity, not the  
22 Drusen themselves and the Drusen pigmentary  
23 changes. And if you look at the prevalence of  
24 both those lesions, in non-Hispanic whites in  
25 America, they are about equal, the global

00124

1 neovascular AMD and geographic causing visual loss  
2 in the present populations. But as you look at  
3 the long-term incidence in the younger people over  
4 15 years, it's the neovascular that's more  
5 frequent, more than the geographic. But if you  
6 look at patients 85, it's seven times greater  
7 geographic than neovascular. So after 85, if  
8 you're 85 and have escaped the late changes, you  
9 are more likely to develop the geographic atrophy  
10 causing loss of vision, and there is a need for  
11 drugs or approaches that will reduce the  
12 progression of the Drusen to the advanced stages  
13 of the geographic atrophy, but that's not really  
14 the subject of this meeting.

15 DR. GARBER: Bill, is it on this point?

16 MR. CLARKE: Yes. On an  
17 epidemiological level, are they even the same  
18 disease?

19 DR. KLEIN: Good question. There is a  
20 lot of good work out there looking at the factors  
21 that lead people to develop Drusen in the first  
22 place and why some people go on to neovascular  
23 stages versus atrophic stages, and we are slowly  
24 working this out. We have found various genetic  
25 factors that neovascular takes, and there is less

00125

1 information about the atrophic process, why that  
2 occurred, but I think there are different stages  
3 of macular disease where reasonable steps such as  
4 smoking will progress to neovascular.

5 MR. CLARKE: Just to clarify, the  
6 atrophic disease, that appears to be a different  
7 process from the dry or from the wet, and I just  
8 wonder, is that always the end stage of CNV?

9 DR. KLEIN: The end stage of CNV would  
10 be the fibrotic destruction of the retina which  
11 occurs usually acutely and there are drugs to  
12 prevent that. In some cases the natural  
13 progression is that fibrosis may lead to an  
14 atrophic stage and the geographic atrophy is  
15 actually slowed. The Drusen generally aggregate  
16 together and then some of them begin the retinal  
17 destruction when certain photoreceptors die. So  
18 it's possibly and probably a different process  
19 that occurs from different factors, both  
20 environmental, genetic, and there's probably many  
21 different genotypes being lumped together in the  
22 event of AMD and geographic atrophy.

23 DR. GARBER: Ivan, I think you were  
24 ready with your response.

25 DR. SUNER: Ivan Suner, from the Duke

00126

1 team. Alluding to Mark's previous question, I  
2 think it's a very salient and pertinent question.  
3 I think we are focusing on limited therapies for  
4 the wet AMD and that's where we see a significant  
5 impact. I think we are all taking a step back and  
6 looking at the dry AMD, basically because that is  
7 a bigger pool of patients, and if you can somehow  
8 prevent them from becoming wet, I think that's  
9 where the holy grail will be.  
10 And I think what we're going to see  
11 over the next few years is again, as Dr. Klein was  
12 alluding to, we're trying to look for pre-lesion  
13 conditions, and I think as we're learning more,  
14 these are genetic factors, we've already seen on  
15 various studies and it has been confirmed now,  
16 that it may be that a complement factor or  
17 chromosome one account for about 42 percent of AMD  
18 patients. A second mutation of chromosome ten now  
19 confers a 50-fold increase for the risk of AMD.  
20 So we believe genetic factors are important in  
21 this sort of matrix when we put together genetic  
22 factors, biologic factors, inflammatory markers,  
23 and other dispositions like smoking.  
24 So I think in the end as we go along,  
25 we will come up with a matrix and tell the patient

00127

1 with a very early form of AMD, you will not  
2 progress to wet AMD, you're okay, and maybe with  
3 dietary considerations you will be okay, versus a  
4 patient that we know will progress to wet AMD.  
5 Hopefully in early stages we will recognize that  
6 with this matrix and be able to intervene with  
7 pharmacologic, dietary, or other forms of  
8 intervention to prevent that progression.  
9 So again, I agree that we are focusing  
10 on the wet AMD which may be a smaller pool, albeit  
11 the higher impact pool, but I think in the future  
12 as we go forward with more trials, looking at  
13 other imaging technology, other forms of  
14 angiography and OCT, and the other cast of  
15 biomarkers and serum, we may be able to have a  
16 matrix to have predictive value and hopefully  
17 tailor therapy to prevent this disease.  
18 DR. FENDRICK: Can you answer  
19 specifically a question? The sophisticated  
20 analysis that you and Dr. Matcher presented about  
21 the sensitivity and the interactivity of the  
22 quality of life measures across the board of AMD,  
23 have you looked at those specifically in the wet  
24 patients, the CNV patients, because these patients  
25 have had bad eyesight for a long period of time

00128

1 and as we know from other diseases, the  
2 applicability to certain conditions really will  
3 throw off the quality of life measures over a  
4 period of time.  
5 DR. SUNER: That's a great point.  
6 Again, part of the difficulty is that many of  
7 these studies look at a very heterogeneous pool of  
8 patients, so some of them reflect a population  
9 with 10 or 15 percent that have wet versus the  
10 ones that are normally seen. The ones that are  
11 more clean, the ones that look at a particular  
12 intervention in a very defined patient population,  
13 which includes the submacular Drusen trial, or you  
14 can assert a patient population that has bilateral  
15 wet disease, or unilateral wet disease, and so  
16 you're looking for a study with a staging  
17 characteristic for dry AMD. And in those you are  
18 able to tease apart some benefit on the quality of  
19 life evaluation in the NEI-VFQ. And again, that's  
20 particularly the SFT, the AREDS, but these have to  
21 be very well-defined patient populations where you  
22 have similar risks of progression and similar  
23 clinical phenotypes of the disease process.  
24 DR. GARBER: All right. Cliff Goodman  
25 and then Michael Abecassis.



00129

1 DR. GOODMAN: The first question that  
2 we need to answer seeks to distinguish among  
3 measures, direct and intermediate. I think I know  
4 which six of those are direct measures of visual  
5 outcome and I think I know which five of those are  
6 intermediate endpoints and I was hoping you could  
7 confirm that for us. I think that will help us in  
8 our subsequent discussions of the 11. Ross, do  
9 you want to give it a try or do you want me to  
10 give it a try?

11 DR. BRECHNER: Go ahead. I'll grade  
12 you.

13 DR. GOODMAN: Well, I think that the  
14 direct ones are visual acuity, VFQ 25, extent of  
15 CNV, glare recovery, contrast sensitivity, and  
16 visual fields. So those would be the direct  
17 measures of visual outcome, and the others would  
18 be intermediate, which are more kind of biologic  
19 markers, extent of CNV, Drusen extent, geographic  
20 atrophy, fluorescein angiography, and OCT. Am I  
21 about right on those, that the latter five would  
22 be the intermediate endpoints?

23 DR. BRECHNER: Yes, five would be  
24 intermediate endpoints.

25 DR. GOODMAN: So we have six direct and

00130

1 five intermediate.

2 DR. BRECHNER: Yeah, and of the ones  
3 that are direct, visual acuity has the most --

4 DR. GOODMAN: I'm not into that just  
5 yet, but that's a breakdown of the six and the  
6 five?

7 DR. BRECHNER: Yes.

8 DR. GOODMAN: Next question. Judging  
9 from the Duke team's presentation, though, it  
10 seems as though they also discern that the VF 14  
11 might accompany the VFQ 25 as another valid  
12 measure of psychometric and other problems.

13 DR. BRECHNER: Yes, they did say that.

14 DR. GOODMAN: Would you agree to  
15 include the VF 14 with that?

16 DR. BRECHNER: Well, quality of life,  
17 yes, but that's up to them. But I would, yes, I  
18 would be inclined to think that based on their  
19 conclusions, so we may want to say quality of life  
20 instruments, VFQ and VF.

21 DR. GOODMAN: Okay. And then finally,  
22 did your evidence analysis look at the association  
23 between these indirect endpoints and the direct  
24 measures of visual outcome, did you look at the  
25 association or correlation?

00131

1 DR. BRECHNER: Yes. I mean, I looked  
2 at everything I could find. There's very little  
3 data on that, associations in general quality of  
4 life instruments and some of these other measures.  
5 There are occasionally, like I mentioned one where  
6 they looked at fluorescein angiography and OCT to  
7 see whether or not there was a visual field  
8 finding, and fluorescein angiography was looking  
9 at it in between, but there was very little hard  
10 validated reliable data on those.

11 DR. GOODMAN: Hard reliable data on the  
12 association between the intermediates and the  
13 directs, is that what you're saying?

14 DR. BRECHNER: Yes.

15 DR. GOODMAN: That's what I thought.

16 DR. BRECHNER: Yes. I mean in general,  
17 although visual acuity is widely used, there is a  
18 paucity of real validation, standardization of  
19 reliability.

20 DR. GOODMAN: You're talking about  
21 within measures of validity for that standard, but  
22 I was asking about the association or correlation  
23 between the intermediates and the directs.

24 DR. BRECHNER: There is almost no data.

25 DR. GOODMAN: That's very helpful,

00132

1 thank you.

2 DR. GARBBER: Cliff, I think this was a  
3 very appropriate question, but we will also  
4 undoubtedly want to explore these in more detail  
5 during the panel deliberations, because you've  
6 really gone to the heart of some of the voting  
7 questions with your questions to Ross.

8 DR. GOODMAN: Absolutely. I just  
9 wanted to make sure I understood what they  
10 presented to us.

11 DR. GARBBER: That's perfectly  
12 appropriate, but we will go into this in more  
13 detail later. Next is Michael and then Harry.

14 DR. ABECASSIS: I have a general  
15 question about these quality of life studies and I  
16 guess I would direct it to the group from Duke.  
17 And maybe I'm just applying something or maybe I'm  
18 just not very smart, but I think if you are trying  
19 to validate a tool and you use something like  
20 visual acuity to validate the tool, and then you  
21 use some of the other quality of life tools to  
22 validate a specific quality of life tool, and then  
23 you present a study like the Macugen study where  
24 you show an impact on a primary endpoint, visual  
25 acuity let's say. And then you say that you feel

00133

1 more confident about the data because you have  
2 juts demonstrated that you see a similar impact on  
3 quality of life which used the primary endpoint as  
4 validation, are you not going in kind of a circle?  
5 Is there not a hole in the logic?  
6 I'm not an epidemiologist, but it would  
7 seem to me that there is a hole in that logic. So  
8 my question has to do with the validation of these  
9 quality of life studies, because I think part of  
10 our decision is going to be what types of  
11 endpoints are important in studies that are coming  
12 up. If I'm just stupid, just tell me.  
13 DR. SUNER: I think we're all in the  
14 same sort of haze. I guess from my clinician  
15 standpoint, part of these quality of life  
16 instruments help explain why a patient may lose  
17 two lines of vision with treatment and be very  
18 happy, and then you have a patient who gained two  
19 lines of treatment be very upset at you, and it's  
20 a complementary tool. I don't think, it's easy to  
21 take the shortcut, but I would disagree with that  
22 gross statement, saying I think it's a  
23 complementary tool to visual acuity that is more  
24 specific to patient needs, more specific to real  
25 life situations.

00134

1 In our report we also talked about  
2 performing task instruments, which may be a better  
3 test, because you're watching somebody put string  
4 through a needle or whatever, tasking them and  
5 defining some steps, and that may be a better  
6 standard than a visual quality of life instrument.  
7 However, again, it's tough to reproduce, they are  
8 difficult to carry out in a large trial. So  
9 again, I think that there is some similarity. I  
10 have some reassurances that there is a correlate  
11 with an objective measure, be it visual acuity, be  
12 it reading speed, be it driving a car.  
13 But again, I think that in the end  
14 you're looking at the patient individually and  
15 trying to assess their visual needs, and my point  
16 is that these quality of life measures are  
17 complementary as opposed to stand-alone or a  
18 surrogate to pure visual acuity. Again, it's a  
19 very circular argument to be made, but when you  
20 look at an individual patient, I think it's very  
21 helpful. Again, 80 percent of the patients, how  
22 are you being impacted by what you have, be it dry  
23 disease, wet disease, treatment, how are you  
24 impacted really? And this is different than  
25 visual acuity which is just a sheet, as opposed to

00135

1 talking to the patient to see how they are  
2 impacted in their day-to-day activities in a  
3 social situation.

4 DR. ABECASSIS: So the day-to-day data  
5 is more of an epidemiologic point of view?

6 DR. MATCHER: My only goal here was to  
7 talk directly to the personal values. Really, the  
8 whole point is we're asking a question about this  
9 whole notion of validity, and really the starting  
10 point here is that we're asking a question that  
11 patients care about, what is it that you do with  
12 your vision that you care about that you can't do  
13 now that you could do before, or that you can do  
14 now that you couldn't do before. So, there is  
15 this notion of face validity or content validity  
16 saying we have questions that as human beings we  
17 all acknowledge make sense, we have questions that  
18 we care about.

19 But then you get into the issue of,  
20 well, does it have psychometric properties, that's  
21 the whole range of properties a measure should  
22 have, and that's something that's worth using in  
23 the context of a study, you know. So you want to  
24 know, for example, if this measurement says, if  
25 you're going to say that they have better visual

00136

1 function, would it make much sense that that  
2 measure also corresponded to worse visual acuity,  
3 and that would not make any sense. So it's not  
4 that you're using it to validate it, but you're  
5 using, directing the question to a measurement  
6 that you thought was face valid, does it make  
7 sense in some subgroup that you would get  
8 responses that you hoped for, or would you get  
9 responses you felt were not appropriate.

10 DR. ABECASSIS: But if it's efficacy  
11 that we are trying to define, then shouldn't you  
12 be looking at a solid primary endpoint?

13 DR. MATCHER: Which might be what?

14 DR. ABECASSIS: Which might be visual  
15 acuity.

16 DR. MATCHER: But the point is that  
17 visual acuity doesn't necessarily correspond to  
18 what people can do nor what people perceive those  
19 capabilities. So the point is, what people really  
20 care about are these quality of life questions.  
21 The issue is, how do we ask them in a way that we  
22 can then use them in a larger environment,  
23 clinical research and ultimately clinical  
24 practice.

25 DR. GARBER: I think Jonathan Javitt



00137

1 may have something to add there.  
2 DR. JAVITT: Well, I'm one of the  
3 people who wrote the VF 14 and validated it to  
4 begin with, and to the extent there are questions  
5 about the VFQ, its grandfather is here in the room  
6 also, Dr. Ellwein. But it would be a great  
7 mistake to state that either of these instruments  
8 were developed in order to find another way to  
9 measure visual acuity.  
10 They were developed with the  
11 recognition that visual acuity as perceived by a  
12 patient in a dark room looking at a brightly lit  
13 eye chart is one small piece of the question of  
14 how that patient sees. And one of the things  
15 these instruments teach you is that they correlate  
16 relatively perfectly about an R square .3 to .4  
17 with visual acuity. If a patient is coming in and  
18 telling you that they see terribly, they can't do  
19 anything, and yet when you measure them on an eye  
20 chart, you refract them down to 20/30.  
21 Correspondingly, a patient may have 20/50 on an  
22 eye chart, but they get around and do what they  
23 need to do in their lives.  
24 So when these instruments were  
25 developed, large groups of patients in focus

00138

1 groups were asked about their lives, asked about  
2 the dimensions of vision that are most important  
3 to them, and that's how the questionnaires were  
4 developed. It's only as a secondary validation  
5 test so we could say okay, now that we've  
6 developed these from a psychometrically  
7 appropriate perspective and measured the patient's  
8 concerns, how do they happen to correlate to other  
9 measures, including visual acuity, including  
10 contrast sensitivity, including things like the  
11 SF-36 which measures general health status. So it  
12 would be a mistake to think that the visual acuity  
13 drove the validation, which leads to a circle.  
14 While I have the microphone for a  
15 second, as a non-retinal specialist, to go back to  
16 Dr. Fendrick's question, macular degeneration is  
17 very simple if you're a non-retinal specialist.  
18 It is the progressive death of retinal epithelial  
19 cells with their overlying photoreceptors.  
20 Dr. Klein has spent his life studying macular  
21 disease and I don't think he will disagree with  
22 that. Now if you get to the point where all the  
23 retinal pigment epithelial cells have died, you've  
24 got geographic atrophy and it's very likely that  
25 those people will blast off into the wet phase of

00139

1 macular degeneration. But if along that path of  
2 degenerative disease, you get a very (inaudible)  
3 membrane, you are almost certain to blast off into  
4 the neovascular phase, which can then lead to  
5 acute vision loss.

6 And the question what can you do as an  
7 internist is to do good patient reporting, get  
8 them wearing hats, get them wearing sunglasses,  
9 get them to think about not smoking and taking  
10 vitamins.

11 DR. FENDRICK: As a follow-up to my  
12 first question, and those of us who've done a lot  
13 of MCACs have heard about surrogate markers in 25  
14 different diseases in response to a very simple  
15 question, tell us how the surrogate marker links  
16 to the clinical outcome that matters. And I'm  
17 happy to use the Duke scale or your scale, or  
18 anything where the patient says this is impacting  
19 my life, my vision is impacting my life. Why  
20 don't we know more about how these objective  
21 measures that we hear and see, whether it's  
22 fluorescein or other types of imaging, why don't  
23 we know about how these things relate to vision  
24 changes?

25 DR. JAVITT: Macular degeneration, you

00140

1 get people who were stable for years and then  
2 people who go into blast crisis, okay? The  
3 neovascularization is a blast crisis, that's the  
4 blinding of your eye. And most of these surrogate  
5 markers that you're hearing about are markers for  
6 identifying an acute phase of the disease for  
7 which we suddenly have new therapies and can keep  
8 people from going blind overnight. We don't yet  
9 have therapies that can deal with that chronic  
10 stage of the disease that leads to geographic  
11 atrophy other than hats and sunglasses, and you're  
12 the guy who prescribes those to your patients.  
13 DR. GARBER: Next is Harry Burke, and  
14 then Bryan Luce.  
15 DR. BURKE: This is a very interesting  
16 discussion. Just as an aside we look with  
17 suspicion at quality of life and measures  
18 associated with it, usually because a patient's  
19 prior perception plays a large role in the current  
20 quality of life assessment and it's very difficult  
21 to control a patient's prior perception in trying  
22 to validate this instrument.  
23 That said, so yes, I'm interested in  
24 the instruments, because I think that's going to  
25 play a large role in this whole process, what

00141

1 instruments you use. So if we have therapies that  
2 are effective on some people and not on all  
3 people, then of course the first question is how  
4 do you determine which patients are going to  
5 receive the particular therapy, what instrument  
6 are you going to use to determine which patients  
7 are going to receive which therapy?  
8 And then I think a second related point  
9 is, you have an effective therapy and a couple  
10 things could happen. You could have visual  
11 improvement or no improvement, you could have  
12 stabilization, or you could have a reduced  
13 decline. It seems to me that the instrument you  
14 use may vary depending on what you're looking for,  
15 because some of these may take a long time to  
16 occur, some may happen very quickly, and whereas  
17 reduced decline may take a long time, and then you  
18 need to determine whether to use a functional test  
19 or anatomic test to determine what the outcome is.  
20 And then finally, I think an important  
21 point is how do you determine whether additional  
22 treatment is needed? In other words, if you are  
23 predicting it's going to be effective, do you wait  
24 to see a continued visual decline over six months,  
25 do you do an anatomic test in two weeks, because

00142

1 you want to intervene as soon as possible if the  
2 first therapy wasn't effective. So I would just  
3 ask some of our panelists, how do we determine  
4 what the test is for each of these situations?

5 DR. MATCHER: I'm going to start by  
6 saying I can't answer your question.  
7 (Laughter.)

8 DR. MATCHER: It is a philosophical  
9 question on some level, and that's what I was  
10 referring to.

11 DR. BURKE: Well, let's get to the  
12 practical question, how do you determine which  
13 anatomic or functional test?

14 DR. MATCHER: Ultimately the question  
15 goes to what you're trying to accomplish in the  
16 medical enterprise, and what you're looking for to  
17 accomplish in that enterprise is to make everyone  
18 happy and then that defines what kind of measure  
19 you might be looking for. If what you're saying  
20 is that the enterprise that you're interested in  
21 is allowing people the opportunity to be happy  
22 through having really good vision, then I think  
23 that the optimal measure just speaking to them is  
24 something like how well you can read, you care  
25 about driving, these are some of the tasks

00143

1 involved in driving, so it's specifically  
2 task-oriented. As an alternative, I think the  
3 quality of life measures which really do capture  
4 those things are pretty good, with the  
5 acknowledgment that there is this overlay of well,  
6 cranky people are cranky and they're not going to  
7 be happy no matter what the heck you do for them.  
8 DR. BURKE: Right, but I'm also looking  
9 more at visual acuity versus the VQT and I'm  
10 asking the question, well, do you use visual  
11 acuity even if you have a treatment which you  
12 expect to have a radical improvement when you do  
13 the test, do you do an anatomic test? In other  
14 words, you know, what's the standard for what test  
15 you use?  
16 DR. SUNER: I think the problem is that  
17 all the anatomic tests are surrogates, and we  
18 don't know how the retinal cells are dying or why  
19 they're dying. Now you measure by OCT and look at  
20 a patient that has a very swollen retina,  
21 subretinal fluid and intraretinal fluid on OCT, a  
22 leaking angiograph, but the anatomic technology  
23 they look to says it looks anatomically okay, so  
24 you know, they may be objective measures but  
25 they're not effective in looking at what is

00144

1 causing visual loss in this disease and that's the  
2 main issue. So again, these are all surrogate  
3 measures that are not getting at the crux of why  
4 people are losing vision or why they're cranky or  
5 why they're unhappy.

6 DR. GARBBER: I think I understand what  
7 Harry is asking and can sort of answer it, but the  
8 direct question I think is, in what respect have  
9 these been validated as tests to predict  
10 progression and response to treatment? In other  
11 words, the ideal study would be something like the  
12 following: You do OCT to monitor in one group and  
13 in another group you don't and you use clinical  
14 criteria or something to decide when to do the  
15 next treatment or add combination treatment, or  
16 somehow change the management. And then you'd  
17 like to know, did the OCT group do better by some  
18 well delegated measure. Presumably that study  
19 doesn't exist, and in Ross's review I don't think  
20 there was a single such study, but there may be  
21 other kinds of studies to get at that question, so  
22 I think Harry's question is, what kind of evidence  
23 is there of that kind?

24 DR. SUNER: That could be done in a  
25 mass trial, but having said that -- we have the



00145

1 ability to go to a reading center as an observer.  
2 Having said that, though, you will have to realize  
3 that the OCT and the fluorescein look better if  
4 they're not seen, they're glossy. At the same  
5 point, you have people that the OCT and  
6 fluorescein look worse, yet they are seeing better  
7 for some reason, and I think you can't explain  
8 exact data. If you see an OCT that looks good, if  
9 you see an angio that looks good, at least you  
10 think you've done what we can do, but it doesn't  
11 always correlate with function.  
12 DR. GLASER: I think you touched on a  
13 very difficult point, and that's how difficult it  
14 is to assess some of these measures. The reality  
15 is that OCT is a relatively new technology, and  
16 most of the studies that you heard about today,  
17 those deal with a non-OCT part of the protocol,  
18 and it's only been in the past two or three years  
19 where we started to better understand the  
20 implications of OCT. That said, there have been  
21 some preliminary efforts toward comparing OCT with  
22 visual function. We might find out, for example,  
23 an individual could have a normal retinal  
24 thickness and still have very poor vision due to  
25 poor macular perfusion, or there could be another

00146

1 reason for decreased vision such as optic nerve  
2 damage. So, although it does give us a time shot  
3 of the biologic activity, it does not correlate  
4 with the visual function.  
5 Another example would be patients that  
6 have visual loss and geographic atrophy that have  
7 relatively standard or statistically normal  
8 retinal thicknesses, but they actually be  
9 atrophic. So we're at the present time unable to  
10 use OCT as a surrogate for visual function. What  
11 we can use it for, though, and increasingly I  
12 think most retinal specialists will agree with  
13 this, is as a surrogate for response to therapy.  
14 So we use this as just one aspect of our  
15 decision-making process, somewhat analogous to  
16 perhaps in internal medicine where if a chest  
17 x-ray is getting better, that's good, if the  
18 fever's going down, is the patient breathing  
19 better, and that's I think the way we look at  
20 these newer technologies.  
21 DR. BURKE: What about the angiography,  
22 do you use that as a measure of response to  
23 treatment or are there other measures?  
24 DR. GLASER: Personally we have looked  
25 at most of the trials, and some of the

00147

1 characteristics that we've looked at have been the  
2 lesion size, progression of the lesion, whether or  
3 not various forms of revascularization are present  
4 over time, conversion from one form to another,  
5 and increasingly we're finding that fluorescein  
6 angiography is not an optimal tool for correlating  
7 with visual acuity. We can now see patients that  
8 have very poor results from angiogram, yet have  
9 relatively good vision with therapy, so I would  
10 say that fluorescein angiography has poor  
11 correlation ability with visual function, as is  
12 ICG.

13 DR. BURKE: Thank you.

14 DR. GLASER: I would like to expand a  
15 little bit on what was just said, and I want to do  
16 that by stepping back a little bit and reminding  
17 everybody that macular degeneration is a complex  
18 process and we shouldn't view this as a  
19 bureaucrat, trying to find out directly going from  
20 dry to wet, that in and of itself is difficult.  
21 But just sort of take the cases with wet macular  
22 degeneration, and you start to get  
23 neovascularization from the choroid running up  
24 into this subretinal space and sometimes into the  
25 retina. And then you have leaks of fluid, and

00148

1 then you have blood that leaks up there, and  
2 sometimes some inflammatory process going on.  
3 And if you're interested in one single  
4 test to be able to be predictive in this complex  
5 disease and all of its various stages, it's going  
6 to be very tough. And I think that one of the  
7 things that we're seeing is that you can't take  
8 OCT alone and say OCT is going to predict AMD, or  
9 you can't take fluorescein angiography. We talked  
10 about high speed dynamic therapy which can show  
11 more about the anatomy. None of those can you  
12 take as one single test. There's a lot going on,  
13 and we may find all the tools we need, but I think  
14 it's really going to require a spectrum of tests  
15 that will help us get at, is there  
16 neovascularization, are they leaking a lot, have  
17 they been around enough to cause damage, at what  
18 stage is the damage to the retina from the  
19 leakage? Therefore, is there some belated  
20 recovery? These are complicated and I think it's  
21 trying to take one test and pin everything to one  
22 test, and it's just not going to happen at this  
23 stage of the technology. So I think it's going to  
24 require the whole spectrum of tests, and I just  
25 didn't want someone to get the idea that we were

00149

1 talking about that everyone wants one measure and  
2 throw out the rest, and I think that would be a  
3 dangerous thing to do at this stage in our  
4 development, and in fact I think we need more  
5 tests to be able to really get at this complex  
6 disease.  
7 DR. BRECHNER: Just about as Dr. Glaser  
8 started talking, I was thinking about one of the  
9 points that I raised in the talk, which was the  
10 definition of visual function, because indirectly,  
11 this is an area you might be referring to, how you  
12 define visual function and measure it. And I  
13 mean, I would like to say that we could take all  
14 of these different measurements in a trial and put  
15 them in a nice little multiple regression thing  
16 and pluck out the ones that are less important,  
17 but I think that's down the road.  
18 What you're up against is a quandary,  
19 we don't have the ability in my opinion to do all  
20 that, we haven't studied that way yet and it needs  
21 to be. Maybe we need to have a conference on  
22 defining visual function, because you need  
23 someplace to start, because what everybody is  
24 doing is kind of doing what they know how to do  
25 and the base is getting lost because there is too

00150

1 much confusion with all these different methods.  
2 And there are a lot of outcome measurements out  
3 there, but none of them have been validated, and  
4 everything takes money and time, there is that  
5 problem. But that question of visual function,  
6 that definition of it is what I think you're  
7 getting at.  
8 DR. GARBER: Let me interject a quick  
9 time check question. I would like to wrap this  
10 up, but I understand our lunch was strategically  
11 placed at 11:30, presumably to beat the crowds in  
12 the cafeteria, and we're a little bit late for  
13 that, so I want to get the sense of the panel.  
14 We could continue with this, I thought  
15 we would be done with this by now, but it sounds  
16 like a question that merits more discussion. I  
17 have a long list of people who have questions to  
18 ask. Would the panel feel comfortable if we went  
19 down, got lunch, brought it back here, and then  
20 continued?  
21 I heard noises of disagreement. The  
22 reason that we're not allowed to eat here is  
23 because past groups have left their de troitus  
24 behind, so if we're going to be able to eat here  
25 in the future, we have to all make sure we take

00151

1 care of the garbage and leave the room clean, and  
2 everybody takes responsibility for that,  
3 panelists, audience, members, everyone.

4 So we will resume here at noon.

5 (Luncheon recess.)

6 DR. GARBER: Welcome back, everyone.

7 We're going to resume now, and Jonathan Javitt was  
8 just about to answer Harry's question before we  
9 broke for lunch.

10 DR. JAVITT: Mostly I was going to  
11 point out to Harry that when the world looks for,  
12 since we are unable to talk to John Eisenberg on a  
13 regular basis, when the world looks for answers on  
14 how does one distinguish measures of therapeutic  
15 efficacy or clinical effectiveness, usually people  
16 talk to either Alan Garber or to Mark Fendrick or  
17 to Bryan Luce, and you see all the expertise is on  
18 that side of the table. And to get to the  
19 specific question you're asking, if you want to  
20 know whether you've dried out the retina, then you  
21 need to do a clinical test that tells you, and  
22 that could be a fluorescein, it could be an OCT,  
23 it could be something that we don't yet know  
24 about. But you also want to know whether drying  
25 out the retina matters at all in how the patient

00152

1 is going to see today, tomorrow or next year, then  
2 you need to be doing a quality of life instrument  
3 as well as the visual acuity, but the measures  
4 exist for very different reasons.

5 DR. BURKE: The thrust of my question  
6 was how do we measure when we do a treatment, how  
7 do we measure the overall conclusion, and how do  
8 we measure the intermediate outcomes to determine  
9 whether an initial treatment was effective and  
10 maybe needs to be followed up with combination  
11 therapies, you know, so you know, how do we  
12 measure it? Is visual acuity the gold standard  
13 here? It's a highly subjective test, and is that  
14 the --

15 DR. JAVITT: Visual acuity is the  
16 result.

17 DR. BURKE: Do we measure that as the  
18 endpoint?

19 DR. JAVITT: After the treatment if you  
20 want to know if the patient's retina is better or  
21 worse, did you dry out the retina with whatever  
22 you did, then you use something like the OCT or  
23 fluorescein to find out, did you dry out the  
24 retina. Now, did that have an effect on the  
25 patient's vision is a separate question, and a



00153

1 longer term question, quality of life, as the  
2 people at Duke have looked at, does all the money  
3 we spent on this therapy have a quality of life  
4 impact on a patient that matches the money that we  
5 might have spent to treat diabetes?  
6 DR. BURKE: A separate question,  
7 because driving out the retina as an end to itself  
8 would not be an outcome either. It is only  
9 relevant to the extent that it will either stop  
10 the progression of the disease or improve the  
11 vision, right?  
12 DR. JAVITT: Right. If I go to Carmen  
13 Puliafito with a leaking neovascular membrane, I  
14 want him to treat me so the membrane stops leaking  
15 and my retina dries out, and I don't want him to  
16 be measuring my quality of life. On the other  
17 hand, if someone is bringing out a new therapy and  
18 wants to convince a regulator why that new therapy  
19 ought to be paid for, and has to demonstrate how  
20 that new therapy benefits patients compared to  
21 other therapies for other illnesses, quality of  
22 life is one of the ways we can compare across  
23 societal obstacles for treatment.  
24 DR. BURKE: Right, but I --  
25 DR. JAVITT: And in fact there are any

00154

1 number of people, regulators and others, published  
2 in the literature, that it's almost immoral to  
3 think of using quality of life measurements to  
4 make a decision about an individual patient, but  
5 we're treating their disease as best we can.  
6 DR. GARBER: Jonathan, I wanted to kind  
7 of come back to a question, and you all can feel  
8 free to also add your thoughts. A couple of  
9 people have mentioned and you just mentioned the  
10 idea of using some of these tests, angiography,  
11 OCT, et cetera, to monitor disease progress, but I  
12 have a really simple question. What is the  
13 evidence that alternate treatment based on any  
14 combination of those tests alters outcomes  
15 compared to, say, just waiting until there is  
16 visual deterioration or some other clinical  
17 measure of change in disease status?  
18 DR. JAVITT: Well, again, you're asking  
19 the non-retinal ophthalmology guy, so I'm going to  
20 be fascinated to hear how Neil answers this, but  
21 from my perspective, all of the pivotal trials  
22 that the manufacturers have submitted for FDA  
23 approval and in the approved products and in the  
24 soon-to-be-approved products, suggest without a  
25 shadow of a doubt that choroidal

00155

1 neovascularization is bad for you, that when  
2 choroidal neovascularization happens, you get  
3 swelling of the macula, ultimately you get a  
4 hemorrhage of the macula, and you irrevocably lose  
5 vision. So you don't have to wait for bad things  
6 to happen or not to happen in order to determine  
7 whether your treatment is showing any signs of  
8 efficacy.  
9 Along the way you can be doing other  
10 noninvasive measures on that neovascular membrane  
11 to see whether it's shrinking or not shrinking, or  
12 whether it's getting bigger. The minute you leave  
13 the pivotal study and change the protocol as you  
14 go along because you will never get FDA approval  
15 for the world of clinical practice, you're in a  
16 world where you either treat or give placebo and  
17 then you see if the patient goes blind or doesn't,  
18 and tailoring the treatments along the way to see,  
19 did that neovascularization resolve, are the blast  
20 cells going down or not. If it's no, you know,  
21 are the platelets coming up or not, you know, the  
22 patient will live or die. But Neil will do  
23 better.  
24 DR. BRESSLER: Why don't you repeat it?  
25 DR. GARBER: The question was, what is

00156

1 the evidence that modifying therapy, and I think  
2 in more of a monitoring situation than initial  
3 therapy question, what is the evidence that  
4 modifying therapy based on the results of any  
5 combination of the tests that we discussed improve  
6 outcomes, compared to just modifying therapy based  
7 on clinical indicators like vision loss?  
8 DR. BRESSLER: There is no evidence so  
9 far, it's too early in the process. We've learned  
10 that some of these treatments worked just a few  
11 months ago, but those treatments did not include  
12 in their protocol okay, if I stop based on a  
13 certain OCT level or if I continue based on a  
14 certain fluorescein angiographic outcome, do I  
15 know I'll have a better overall outcome than if I  
16 didn't do that. The trials are designed to study  
17 the therapy that is initiated at baseline and  
18 continued for a certain amount of time to see if  
19 there were better outcomes compared with my  
20 control, and the answer was yes.  
21 Now we would like to go beyond that,  
22 but we don't have evidence so far to tell us  
23 should I continue to treat someone at month six,  
24 seven, eight or nine based on their OCT, visual  
25 acuity, fluorescein angiography or anything else.

00157

1 We need to design studies that will help tell us  
2 if we can confidently predict in the future that  
3 we should stop the therapy when the OCT is flat,  
4 the visual acuity hasn't changed, the angiogram  
5 hasn't shown any growth, would we get the same  
6 outcome than if we continued the therapy without  
7 that information. So we don't have that evidence  
8 yet, we obviously need it to improve on our  
9 therapies, improve the frequency of applying the  
10 therapy.

11 DR. GARBBER: Thank you. Now, we are  
12 going to resume our list of questioners, and  
13 Bryan, you have been waiting for an hour.

14 DR. LUCE: Thank you. I have four or  
15 five questions at this stage. The first question  
16 I have has to deal with, goes back to the quality  
17 of life measurement issue, and as I think we all  
18 understand it, we're talking about people with  
19 disease oftentimes in one eye, and yet sometimes  
20 in both eyes, and it's been mentioned that the  
21 visual acuity instrument picks up pathologies and  
22 outcomes associated with one eye, but sometimes we  
23 are dealing with a person with two eyes. And the  
24 degree to which these studies that have looked at  
25 this, as well as the clinical trials, are really

00158

1 focusing on the bad eye with the good eye  
2 compensating. That's the beginning I would like  
3 to have maybe the Duke team talk about, maybe  
4 others as well.  
5 And then secondly, the degree to which  
6 a patient preference utility helps with that, and  
7 the role that utility plays. I realize that the  
8 evidence base is not very strong right now, but is  
9 this something that's going to lead to the  
10 efficacy of treatments and their utility in  
11 relieving this disease?  
12 DR. MATCHER: Let me answer the second  
13 question first. We specifically avoided talking  
14 about utility measures, in part because the volume  
15 of evidence supporting them is much smaller, and  
16 in some cases it was unclear which patients, new  
17 patients or old patients, but basically there were  
18 two groups that used utility measures in the  
19 context of visual loss.  
20 When you're talking in terms of general  
21 information, utility measures are distinct from  
22 reference to quality of life measures in that  
23 utility measures strictly speaking are asking for  
24 an individual to assess a health state in terms of  
25 their willingness to accept some sort of risk or

00159

1 willingness to give something up to avoid that.  
2 So if the patient says I have this visual  
3 (inaudible) whatever it is, or you can take a  
4 painless pill which has these effects, but if you  
5 don't die, you know, you will have perfectly  
6 normal vision. But then if you tell the patient  
7 there is a one in a hundred chance, a one in a  
8 thousand chance, a one in 50 chance that pain is  
9 going to kill you, (inaudible) and that's where  
10 utility measure is.  
11 Now having said that, there were  
12 earlier discussions pointing out that that's kind  
13 of a weird question to ask, and a lot of people  
14 perceive it as something they don't want to do in  
15 the context of research, practice or anything.  
16 And indeed, about 20 percent of subjects will find  
17 that a difficult question to answer. So utility  
18 strictly speaking is a hard thing to do. It's  
19 different than quality of life, which says what is  
20 your willingness to make trade-offs. And someone  
21 might say with a particular health situation, I  
22 don't like it. That's a lousy answer, so you have  
23 to ask them in terms of their willingness to  
24 accept risks, and if they say I'm not willing to  
25 accept the risks, it justifies it, as opposed to a

00160

1 utility question.  
2 So, why don't I like utility? It's for  
3 that reason, and the other reason is that  
4 (inaudible) the measure that is recommended by  
5 economists, although I would be willing to be  
6 proven wrong by the economists in the room, but it  
7 is measured outcomes that are preferred in  
8 assessing the relative value in terms of  
9 allocating health resources, so from a policy  
10 perspective, utility is exactly the measure you  
11 want to have, okay?  
12 So utility may be a preferred measure,  
13 but it's a hard measure to get, a lot of people  
14 find it difficult to answer that.  
15 DR. LUCE: Do you think it would be  
16 sensitive? The SF-36 doesn't appear to be  
17 sensitive enough to pick up changes in general  
18 health assessments like are you willing to accept  
19 the risk.  
20 DR. MATCHER: Your first question --  
21 utility measures tend to be very insensitive, I  
22 measure treatment A is better than treatment B,  
23 okay, and --  
24 DR. LUCE: Uh-huh.  
25 DR. MATCHER: And to answer your first



00161

1 question --  
2 (Inaudible colloquy.)  
3 DR. MATCHER: Utility tends to be a  
4 high variance measure, I won't respond, or can't  
5 respond, or I can't deal with the condition, so  
6 there is a problems with the measurement even for  
7 people who do understand it and are willing to  
8 play the game.  
9 Now, to go back to your first question  
10 about utility assessment --  
11 DR. LUCE: Let me clear it up, the  
12 relationship on the risk of the second eye.  
13 DR. MATCHER: Again, ideal quality of  
14 life, but utility measures, utility is much more  
15 corroborated with the vision in the better eye,  
16 okay, than vision in the worse eye.  
17 DR. LUCE: Which is what one would  
18 expect.  
19 DR. MATCHER: Right.  
20 DR. SUNER: The first question, I  
21 believe was in the SFC and also in AREDS. There  
22 was felt to be a benefit in the second eye, in the  
23 worse eye, in the quality of life measures with  
24 therapy, or just overall quality of life measures.  
25 Back to the utility point, again, it's

00162

1 a great thing conceptually, because without the  
2 validity, utility mostly is hanging on a different  
3 visual acuity being attributed to arguments of  
4 where are they in this A and B continuum or  
5 progression stage, and basically visual acuity as  
6 a utility is not disease state or the quality of  
7 life measurements.

8 DR. MATCHER: Actually, let me clarify  
9 that a little bit. The two groups that I am  
10 familiar with, they did do utility assessment, and  
11 they did look at those relative to visual acuity  
12 and then tried to create a map between visual  
13 acuity and utility, and my inference from that is  
14 what they were looking for was looking for an  
15 opportunity to perform an outcome analysis that  
16 hinged on visual acuity, that is, just taking the  
17 visual acuity outcomes and just hanging a utility  
18 value to that visual acuity.

19 DR. BRESSLER: There is some in the  
20 literature and there is more coming out on it.  
21 Even having this neovascular form affect one eye  
22 has an effect on a person's visual function  
23 questionnaire, presumably on their perception of  
24 their quality of life, so it was different than  
25 what people expected because they have people that

00163

1 have lost an eye their whole life who continue to  
2 function just fine, and clearly a spatial  
3 perception of how they're functioning, how they're  
4 seeing, how they're seeing for distances,  
5 et cetera, was affected even when only one eye was  
6 affected.  
7 Now, there is a strong correlation with  
8 it going down even further once the second eye is  
9 affected, but it clarified for us that you  
10 probably want to take care of that first eye as  
11 well, and possibly you should do that because it  
12 has an impact on not only their visual acuity of  
13 the first eye but their perception of their  
14 quality of life as a person, so that's very  
15 important.  
16 We also treat the first eye because we  
17 never know how that second eye is going to do and  
18 unfortunately, if you develop this  
19 neovascularization in the first eye, half of those  
20 people will develop this in their second eye as  
21 well, and you don't know which is going to end up  
22 being the better functioning eye. And to our  
23 surprise, there is an impact on even the first eye  
24 on somebody.  
25 DR. BRECHNER: (Inaudible.)

00164

1 DR. BRESSLER: We did look at that, and  
2 that did not have an impact on that, so there  
3 weren't many people who had depression as defined  
4 by that scale, it was only about five percent of  
5 the people, but even adjusting for a variety of  
6 factors in the regression analysis, still, the  
7 first eye being affected has an impact when these  
8 people walked in on their quality of life.  
9 DR. BURKE: Was it significant?  
10 DR. BRESSLER: Yes.  
11 DR. GARBER: You presented a slide that  
12 showed, I think, a visual analog scale utility for  
13 people with AMD.  
14 DR. BRESSLER: The preference value  
15 scale, yes.  
16 DR. GARBER: It looked like it was a  
17 vision analog scale.  
18 DR. BRESSLER: Yes.  
19 DR. GARBER: So the question is, was  
20 that rating their overall well being, how was that  
21 question phrased?  
22 DR. BRESSLER: The question is phrased  
23 and it's referenced in the Archives of  
24 Ophthalmology. The questions were three  
25 questions, so that the person first rated their

00165

1 assessment of their vision from perfect vision in  
2 both eyes to total blindness in both eyes. Then  
3 they rated their state of health. If they had  
4 perfect vision, or they said they had perfect  
5 vision, and then they were asked where is your  
6 state of health if you are completely blind, and  
7 that allowed us to take the two anchor points and  
8 get a reference value as a utility value of where  
9 their vision was on their state of health.  
10 DR. GARBER: So if you gave a result of  
11 .67, I forget the exact number, that's, a one on  
12 that scale being perfect vision?  
13 DR. BRESSLER: And perfect health.  
14 DR. GARBER: So that's fairly standard,  
15 and obviously it had nothing to do with the  
16 presence of AMD, given the numbers.  
17 DR. BRESSLER: Correct. And we have  
18 some correlation, it's not perfect, as you had  
19 lower and lower levels of vision in the better  
20 seeing eye, you can see it going down, but there  
21 is a wide correlation, because again, just as the  
22 qualities of life don't exactly correspond to  
23 20/50 vision, they are measures of vision  
24 perception, so it is true for these utility values  
25 that we measure, there is some correlation.

00166

1 DR. GARBER: Bryan, did you have some  
2 more questions?

3 DR. LUCE: Yes. The initial question  
4 has to do with Jonathan Javitt's discussion about  
5 the risk of use of steroids. It wasn't picked up  
6 by anybody else and I don't quite get a sense of  
7 the germaneness to our discussion and whether this  
8 is something we should be concerned about in  
9 thinking about combination of therapies, and I  
10 would like to have any of the presenters who were  
11 talking about combination therapy or anybody else  
12 to provide a little bit more, or give their  
13 opinions as to how that was germane to us.

14 DR. GARBER: Bill, did you have  
15 something on that?

16 MR. DOWNEY: Yeah. That was getting  
17 close to my question. I wanted to ask  
18 particularly the CMS review staff, in regards to  
19 patient safety, it's mentioned at the AOA  
20 meetings, it's a big deal there, but do I take it  
21 from this morning's presentations that there are  
22 no risks from any of these outcome measures in  
23 terms of patient safety?  
24 And secondly in terms of treatment,  
25 that if you could characterize if there are

00167

1 adverse events and their prevalence or whether  
2 that's just not an issue, or if the studies were  
3 adequately designed to identify any patient risks.  
4 DR. BRECHNER: I'm sure, I'll attempt  
5 to answer that, and there are other people that  
6 could answer that better, because I did not see a  
7 lot of information on it. Most of the material  
8 that was used was tested for safety in  
9 measurements like talking about in terms of  
10 intravitreal injection. I didn't see that much  
11 else happened so I'll let some of the other people  
12 answer that for their individual studies, but I  
13 didn't get the impression that there was a major  
14 safety issue with any of these things, including  
15 taking antioxidants, although I think there are  
16 some known entities with taking too much of it. I  
17 was not impressed with any issues with the  
18 exception of that, and submacular surgery, that's  
19 obviously got some high risks to it, but that  
20 trial showed no difference in the treatment. And  
21 aside from that, I didn't see any major scares.  
22 The other question that Dr. Luce had, what was the  
23 first part of that?  
24 DR. LUCE: It had to do with steroids  
25 and when they talked about combination therapy, at

00168

1 least one of the presenters indicated steroids was  
2 part of a combination cocktail.  
3 DR. BRECHNER: There was a steroid  
4 which didn't have the normal pressure-elevating  
5 effects, it was an acetate, and so there was no  
6 problem in terms of that. And as to other  
7 materials, I didn't find a lot of super good data  
8 on that problem. However, if you are injecting  
9 steroids into the eye, you can have elevated  
10 pressure and you have to watch for that. I don't  
11 know that putting steroids in an eye carries an  
12 extra risk with it, but I would still defer to  
13 these good people here.  
14 DR. PHURROUGH: Let's see if I can  
15 perhaps clarify the question. When we put an MCAC  
16 together, we address specific issues to the  
17 particular MCAC, and part of this is  
18 methodological questions around how we can best in  
19 the future make some decisions around current, new  
20 or old technologies. Our questions are not  
21 whether steroids work or don't work. However,  
22 when we put the information out that we're going  
23 to have at one of these meetings, we are required  
24 by law to have the option of public presentations  
25 and so people come and present to us. We ask them



00169

1 to present around the questions, but they present  
2 whatever they want to present around.  
3 Dr. Stout did a superb job of very  
4 clearly focusing on what we asked him to focus on,  
5 what do you think about our questions? Some of  
6 the others were a little bit broader as to whether  
7 certain technologies work or not, and you can feel  
8 free to ignore those comments. The issue here is  
9 not do the technologies work or not, the issue is  
10 what are the methodologies around the studies that  
11 will allow us to accurately determine whether they  
12 work or not.

13 DR. GARBBER: Can I just take, maybe I  
14 interpreted Bryan's question differently. We are  
15 not interested today in whether steroids cause  
16 glaucoma, that is not our question. But I thought  
17 Bryan might be getting at it a little bit  
18 differently, and that is when you look at these  
19 measures of vision or the anatomic measures and so  
20 on, are they capable of detecting side effects as  
21 well as benefits? So you can imagine visual side  
22 effects that are not picked up by a technique like  
23 angiography, like early glaucoma or something like  
24 that. So I thought that was the nature of your  
25 question, are the measures we're using capable of

00170

1 determining the vision-related side effects, not  
2 if somebody somehow gets an MI and they miss the  
3 eye altogether, they get something in a blood  
4 vessel somewhere, but for visual-related side  
5 effects, are they adequately measured in the same  
6 measures which we're using to look at  
7 effectiveness in treating the AMD. Is that what  
8 you were getting at?  
9 DR. LUCE: That was very good. I'm  
10 tempted to say yes. No, that wasn't specifically  
11 what I was getting at, but we were asked to  
12 comment on the adequacy of the existing data for  
13 treatments and for other treatments coming up, and  
14 we're getting close to those questions, it seems  
15 to me. It wasn't just a measurements issue as I  
16 understood the questions, and since this was part  
17 of the combination therapy, we should know more  
18 about it.  
19 DR. GLASER: First of all, there is not  
20 a lot of data on the pressure of the eye other  
21 than to know it will go up, but what I wanted to  
22 do is make sure we're being accurate in our  
23 description of this, and the term that is being  
24 used is that these patients get glaucoma. I'm a  
25 retinal specialist, but you know, to get really

00171

1 specialized, I'm a right retinal specialist. But  
2 glaucoma is generally thought of as a disease  
3 which is associated with high pressure, but also  
4 is causing loss of nerve fiber layers, damage to  
5 the optic nerve, and there's a whole complex to  
6 the disease. What we really talk about when we  
7 say glaucoma related to steroid injection, for  
8 instance, is that the pressure goes up and it  
9 usually goes up transiently in most patients and  
10 then goes away. So I think to call it glaucoma is  
11 not an accurate statement. It's an elevation of  
12 intraocular pressure. Under some cases you might  
13 then progress to glaucoma maybe, but I think for  
14 accuracy of how we look at this, we are looking at  
15 elevating the intraocular pressure but not  
16 necessarily causing glaucoma. You can have some  
17 patients who have glaucoma, but were not  
18 necessarily causing glaucoma.  
19 DR. GARBER: Jonathan.  
20 DR. WEINER: I have one quick question  
21 and one that may be a little less quick. The  
22 quick question is for Dr. Stout. I agree that  
23 that was on target, and I read with interest your  
24 mini-survey of specialists. Can you tell us a  
25 little bit more about how you identified the

00172

1 people surveyed for your confidence in their  
2 evaluation method?

3 DR. STOUT: Yeah. This was  
4 nonrigorously performed, given the amount of time  
5 we had to do it. Basically, I made a series of  
6 phone calls to people that I knew who were  
7 practicing only retina on the west coast who were  
8 eye surgeons.

9 DR. WEINER: You weren't joking about  
10 the west coast?

11 DR. STOUT: No, I was really serious,  
12 for no good scientific reason. The one thing I  
13 attempted to do is get a good distribution between  
14 academics and nonacademics.

15 DR. WEINER: How many?

16 DR. STOUT: 21.

17 DR. WEINER: On the west coast.

18 DR. STOUT: Yeah. And what I did, you  
19 know, I asked the questions with a zero to four  
20 point scale, how important are these, is this a  
21 good index, is this a bad index, and I went  
22 through many of the questions that were posed to  
23 me and I focused on the two of those, gaps of  
24 knowledge and how important is that index.

25 DR. WEINER: That's helpful, thanks.

00173

1 The other question, having been on an MCAC for a  
2 year or two, it's important for Medicare  
3 recipients, there are a lot of good people working  
4 on this, but I think as usual, it's sometimes  
5 clear as mud. There is a lot of complexity, there  
6 are a lot of right answers, and by the way, on  
7 this particular MCAC, I believe there is lots of  
8 evidence and the measures are better than is often  
9 the case.

10 The bad news in my opinion is how do we  
11 put this all together and move forward. And so,  
12 given that, in our context of Medicare trying as  
13 it does to do the right things, people still are  
14 all over the place. So I often ask, in an  
15 organized system, whether Veterans Affairs, Kaiser  
16 Permanente, I'm just wondering what if anything  
17 they might do differently on an ongoing basis, not  
18 research, but in terms of care provision in  
19 Veterans Affairs or military hospitals or Kaiser,  
20 a place on a fixed budget, a place with economic  
21 considerations, that tries to put this all  
22 together for patient populations, what do they do?  
23 Can anyone help me with that?

24 DR. SUNER: I work at the VA, I'm on  
25 the executive board for the VA quality group, and

00174

1 this has been a very difficult topic to deal with  
2 as a retinal specialist and a VA ophthalmologist.  
3 And part of the issue is that you have different  
4 entities, different interests in the VA pharmacy  
5 committee that submits to the VA hospital budget,  
6 for example, and there is no answer. Basically  
7 you try to push these in front of your patients,  
8 and good luck to you, which -- how many are in  
9 that same boat right now? So, again, I think  
10 that's a good question to ask, but I don't think  
11 the VA is a good model, it won't answer your  
12 question.

13 DR. WEINER: Are there other models out  
14 there, other nations perhaps? I guess the answer  
15 is it's important to make the right decision  
16 today. Thank you.

17 DR. GARBER: Bill.

18 MR. CLARKE: I think this may be a  
19 follow-up question to that and my question  
20 revolves around something I think I asked earlier  
21 which is, do we really understand the biology of  
22 the disease, and I think clearly from our  
23 definition this afternoon, the answer is no, we  
24 don't. So, I understand from the testimony that  
25 there is widely varying approaches used, and my

00175

1 question is, how will practicing ophthalmologists  
2 gauge when a next round of therapy should be done?  
3 It goes back to this anatomic versus function  
4 question.

5 As I understand it, the criteria of  
6 primarily anatomic, does a practicing  
7 ophthalmologist, or should CMS request or require  
8 that the next round of such therapy be based more  
9 on the functional assessment or an anatomic  
10 assessment of the disease?

11 DR. BRESSLER: We are actually  
12 assessing all of those now, but having had the  
13 results of these trials, and the trials as I said,  
14 didn't include assessments of that, assessment by  
15 anatomic versus functional, but rather if visual  
16 acuity drops regardless of what you see  
17 anatomically, something is not going in the right  
18 direction. Alternatively, if some of this vision  
19 keeps improving from month to month, you're still  
20 going in the right direction. And the largest  
21 indicator of success, I think will be function by  
22 visual acuity, and we suspect that the OCT will  
23 tell us something, because if we see that the  
24 retina is getting thinner and thinner, that  
25 implies to us that we're improving. The

00176

1 fluorescein angiogram may show something different  
2 than the OCT. The fluorescein angiogram shows  
3 growth of a lesion with a growth rate that you  
4 might not detect on the OCT. So I suspect, I  
5 don't know what interval, but we will be seeing  
6 the person in follow-up subjectively, how are you  
7 doing, and objectively by taking visual acuity and  
8 other physical measurements, and looking at least  
9 at OCT and fluorescein angiography.

10 MR. CLARKE: Just a follow-up on that.  
11 What's understood between observers about the  
12 reliability of fluorescein angiography, how  
13 reproducible is that between observers?

14 DR. BRESSLER: It depends on what  
15 question you're asking me. If you're asking me if  
16 they're good within an office, there's probably  
17 very good inter and intraoffice reliability. If  
18 you're asking the more specific question of how  
19 large is this lesion, then you get into the area  
20 of neovascularization, and the grader might have a  
21 different opinion versus three ophthalmologists  
22 who got together and all discussed it among  
23 themselves. We find that when these are graded in  
24 the clinical trials, very often there are two  
25 graders who are quite experienced and when both



00177

1 graders are used, and then both graders are used  
2 again, you can see that it is highly  
3 reproducible, but for an individual grader or  
4 individual physician when we compare the  
5 measurements, there is a wide disparity for  
6 specific lesions.

7 MR. CLARKE: Is leakage too late in  
8 this disease, angiographic leakage as an absolute  
9 indication for additional therapy? As an  
10 ophthalmologist, would you say that's just too  
11 darned late?

12 DR. BRESSLER: Not necessarily. The  
13 vessels that are there that are just newly formed,  
14 they are very susceptible to not having tight  
15 junctions and leaking, and that can be seen at a  
16 microscopic level, so maybe you could pick up some  
17 way earlier that we don't know of, but it's  
18 certainly an advantage of the time that you pick  
19 up some leakage, yes.

20 MR. CLARKE: Thank you very much.

21 DR. GARBER: I think we're, unless  
22 there are further questions for the presenters, I  
23 think we're ready to move to the next stage. Oh,  
24 go ahead, Mike.

25 DR. ABECASSIS: So, I would like to get

00178

1 sort of a determination as to whether the feeling  
2 is by practicing ophthalmologists that OCT is  
3 quickly, is there evidence that OCT is becoming a  
4 better anatomical measurement than fluorescein  
5 angiography, because the data that was shown  
6 seemed very exciting, but I'd like to get a sense  
7 from the general retinal specialists as to whether  
8 that's the right perception or not.  
9 SPEAKER: The American Academy of  
10 Ophthalmology has a group that looks at new  
11 technologies and currently OCT is being evaluated.  
12 There have been three studies that I'm aware of  
13 that have looked at the ability of OCT to detect  
14 retinal thickening or edema in comparison to the  
15 previous gold standard, which was clinical  
16 examination with a (inaudible), a contact lens.  
17 And it seems clear based on these studies that OCT  
18 is in fact more sensitive than clinical  
19 examination in the texts that we're reading.  
20 We still do not have studies that  
21 provide us with the next important piece of  
22 evidence, and that's how well does OCT correlate  
23 different outcomes and that's a lot of what we  
24 have been discussing already. But I think there  
25 is an increasing consensus that OCT is a very

00179

1 valuable imaging technology for following retinal  
2 disease, and personally if I had my choice of only  
3 one test that I could have, I would probably want  
4 an OCT, because it gives us a better feeling for  
5 the biology of the process at the time.  
6 Issues such as leakage or staining tend  
7 to be very subjective even among highly trained  
8 and certified investigators. If you look at the  
9 various clinical trials, we see that the area rate  
10 in those trials is anywhere between 10 and 20  
11 percent, and that's among experienced  
12 investigators, so I think increasingly OCT is  
13 becoming absolutely essential to the management of  
14 these patients and we hope that this assessment  
15 will be completed and published over the next few  
16 months.  
17 DR. GARBER: Yes, James.  
18 DR. PUKLIN: This question is for  
19 Dr. Williams or Dr. Puliafito. I understand there  
20 is technology which is actually here but may be  
21 even more relevant than the conventional OCT,  
22 which is an ultrahigh resolution OCT capability,  
23 and perhaps one of you would like to comment on  
24 that as it may be perhaps an even more reliable  
25 technology for assessing macular function of the

00180

1 disease process.

2 DR. PULIAFITO: I think it's useful but  
3 it's not perhaps relevant at this time because  
4 there are already 3,000 OCT-IIIs out there, so  
5 it's going to take five years or ten years before  
6 we have another technology.

7 DR. GARBER: Harry.

8 DR. BURKE: Just a brief follow-up  
9 question and yes, you can come up because you're  
10 the one who said it. You said that multiple  
11 modalities would be used, but you said if the  
12 patient was getting better, you would assume, you  
13 know, if the vision got better, you would assume  
14 that the vision got better you wouldn't have to go  
15 in and treat them, their vision is getting better.  
16 The alternative is if the vision is getting worse,  
17 no matter what the OCT shows, you're going to  
18 assume something is going wrong. It's unclear to  
19 me how these other modalities would change your  
20 management.

21 DR. BRESSLER: Well, that's at one  
22 point in time. So if someone, if their visual  
23 acuity is getting worse, for example, but I feel  
24 that their OCT is getting better, I might suspect  
25 that there is still room for improvement, that

00181

1 something anatomically happened that might be  
2 causative, it isn't always, so you might treat  
3 them one more time and see if you're still going  
4 in the right direction. Because at one point in  
5 time you can't tell that, you might need multiple  
6 of these until we have trials to tell us what are  
7 the most reliable for them. So we don't have that  
8 information, they are measuring different  
9 functions, and I believe that's why we're going to  
10 have to use a variety of these to try to make a  
11 judgment. Even if it saves three treatments and  
12 the person remains stable, that's probably  
13 worthwhile saving, so that's why we're currently  
14 using all three, until we have evidence to say if  
15 you have just this information, here's the outcome  
16 you get.  
17 And in reference to the OCT and  
18 fluorescein, they do measure different things, so  
19 we don't have the information yet to say if the  
20 OCT showed no change, how often do we see a change  
21 on fluorescein angiography. We know it could  
22 happen, that is, there could be growth of the  
23 edema and we might not pick that up looking at the  
24 OCT, since it could happen. So until we know that  
25 we're not missing something that would change the

00182

1 outcome for the patient, many people likely will  
2 want the ability to measure both as they're  
3 following the patient.

4 MR. CLARKE: Don't go away, I have a  
5 follow-up. Talking about AMD, which is really  
6 almost an anatomic description of the disease, I  
7 want to make sure I understand. Are there  
8 examples or very many examples of macular  
9 degeneration that is purely cellular? In other  
10 words, do you as a retina specialist see cases  
11 with a retinal degeneration that is not defined as  
12 AMD?

13 DR. BRESSLER: We do, and there are  
14 other diseases. Retinitis pigmentosa, for  
15 example, starts as a loss that we can't image in  
16 any way.

17 MR. CLARKE: I'm sorry, I should have  
18 been more clear. Where there is no anatomic  
19 report but there's vision loss.

20 DR. BRESSLER: With macular  
21 degeneration, we do not see loss of vision without  
22 seeing anatomic changes in the retina.

23 MR. CLARKE: That's the question.

24 DR. BRESSLER: So you either see  
25 Drusen, there may be tiny pigment in that field, a

00183

1 tiny atrophy, or the more obvious geographic  
2 atrophy through the center of the retina, or  
3 full-blown neovascularization.

4 MR. CLARKE: Thank you.

5 DR. GARBNER: Patrick.

6 DR. PRICE: I have two questions, and  
7 one or the other has to do with the quality of  
8 life issue. First of all, I want to put this in a  
9 context and that is as a carrier when we track  
10 these treatments, and they are commonly practiced,  
11 most people do not go through the full therapy for  
12 whatever reason, relatively few people receive  
13 what is in the protocol, and that has implications  
14 for the companies, it has implications for this  
15 body. In order to try to address that issue, I  
16 think that it's important when we see very  
17 impressive percentage numbers to keep in mind the  
18 great number of people who whether treated or not  
19 treated, will do okay. And therefore, we are  
20 going to treat a number of people, say two or  
21 three or six, to help one. So that what is most  
22 valuable if it exists is to tear down these  
23 measurements to see if we can collapse that number  
24 needed to treat and we can predict better which  
25 patients will benefit. Now, I do not think that

00184

1 those necessarily exist, but the point I'm trying  
2 to make is that as we do these studies, is it a  
3 good idea to ask for that information, the number  
4 needed to treat. That's number one.  
5 Number two is that when we are  
6 presenting these studies to our patients in our  
7 exam room, we have to be able to explain to them  
8 that you may not see a benefit from this  
9 treatment, and yet you should go through the  
10 course. Now that has more to do with a quality of  
11 life, not a continuous variable of visual acuity  
12 but a categorical variable, what am I going to get  
13 out of it. Because the patients are going to be  
14 asked to expend sometimes money, sometimes time,  
15 sometimes pain, and they need to know a quality of  
16 life.  
17 So that, I guess Dr. Javitt, is it  
18 illogical to say that the quality of life issue  
19 has any kind of correlation or condition-temporary  
20 measurement to a number that we can use as a  
21 continuous variable to best guess the number to  
22 treat? You have to have some sort of measure to  
23 confirm your comfort level with treating and it  
24 also will influence your recommendation to the  
25 patient, so that they understand what their



00185

1 expectations are.  
2 You're absolutely right that because of  
3 this disease, previous to the ranibizumab results,  
4 our discussion was we're going to reduce your risk  
5 of further vision loss. In other words, you have  
6 a 30 percent chance of losing vision instead of a  
7 50 percent chance. That means either way you're  
8 not going to gain. And this is important, and we  
9 recommend treatment, and if we see that the vision  
10 gets so bad or the lesion grows so much that it  
11 appears there is no value to treatment, then even  
12 it that treatment was better than no treatment,  
13 after one or two years people may discontinue and  
14 that's why we don't see a follow-through with the  
15 treatment.  
16 Now we've moved a little step closer  
17 because of the ranibizumab that says that 30  
18 percent maybe will improve vision, so the  
19 expectation of the patient walking in is I will  
20 improve, but you have to temper that by saying a  
21 majority won't improve, but their chance of  
22 improving is greater if you do this than not, and  
23 they need to know that as well. So, I think the  
24 number you need to treat is important for that. I  
25 believe Jonathan wants to answer the second one.

00186

1 DR. JAVITT: I think it's important  
2 that when you show quality of life, and you talk  
3 about quality of life outcomes across populations,  
4 you treat patients in terms of efficacy and in  
5 terms of clinical outcomes. When we talk about  
6 the outcome of AMD it's really binary, you either  
7 lost your vision or your vision was preserved. So  
8 when you talk about saving, you know, \$75,000 to  
9 save the quality of someone's life here, that's  
10 across a population, any individual person either  
11 won or lost.  
12 I'm a little concerned about the  
13 question about the steroid. Could you do me a  
14 favor and read back Question 4B and Question 5 for  
15 the MCAC?  
16 DR. GARBER: 4B, based on evidence  
17 reviewed, how confident are you that the other  
18 treatment modalities used singly or in  
19 combination, produce clinically significant net  
20 health benefits in the treatment of AMD?  
21 DR. JAVITT: And what is 5?  
22 DR. GARBER: What are the knowledge  
23 gaps in current evidence pertaining to the usual  
24 care and outcome measurement of AMD?  
25 DR. JAVITT: And those were

00187

1 specifically the reasons I brought those slides on  
2 the steroid and glaucoma. I'm not going to ask  
3 the MCAC to focus on steroids or glaucoma, but to  
4 point out that, you know, when you face the rapid  
5 proliferation of off-label use of medications in  
6 the absence of FDA-monitored safety studies within  
7 the Medicare population, there can be huge safety  
8 signals out there that are going unrecognized and  
9 the population can be put at substantial risk.  
10 I'm hopeful that people will follow up  
11 on the steroid data, confirm it with Medicare on  
12 their database, and take whatever action is  
13 appropriate. But I'm also suggesting that to the  
14 extent MCAC is interested in combination therapy  
15 and to the extent that that combination therapy  
16 involves the use of products that are not labeled  
17 that do not have FDA-approved labeling for the  
18 purposes that it's used in AMD, that there really  
19 be a safety surveillance mechanism set up to catch  
20 the one in a hundred or one in a thousand  
21 complications that could really expose patients to  
22 substantial harm.  
23 DR. MATCHER: Going back to the number  
24 of patients being treated, when looking at the  
25 data from the studies where quality of life

00188

1 measures are being used, the results are often  
2 variable, and the reason for that is statistically  
3 we don't have the power to look at it (inaudible),  
4 but the question you're raising seems really  
5 really important and also very, not typically that  
6 difficult to answer if as a panel you believe that  
7 it should be, the data should be presented in the  
8 following way. That is, what is the probability,  
9 what proportion of the people gain a certain level  
10 of improvement, that being a five-point or  
11 ten-point, whatever the panel deems, rather than  
12 just getting what the mean distribution of the  
13 group is. That's what's important, because I want  
14 to be able to say to my patients that in this  
15 study, of ten people who were treated, one person  
16 got an improvement of at least 15 points, and  
17 however many of ten points or five points. So  
18 that's what you're asking for, it's not something  
19 that the studies typically provide, but they  
20 could.

21 DR. BRESSLER: I couldn't agree more,  
22 that the mean is a great way for us to determine  
23 how to proceed further with the treatment, there  
24 is something to test. But we do try to give  
25 clinically relevant outcomes that are perhaps

00189

1 sometimes dichotomous, like how many lost three or  
2 more lines, what's the percentage that gained ten  
3 or more points on the NEI-VFQ, and these are  
4 important to help us in translating the results of  
5 the trial to the patients and in any number to  
6 treat analysis.

7 DR. PRICE: Can I be real specific  
8 about this last point? Is the effect score and  
9 the resource score in the VFQ, is that similar to  
10 a number needed to treat, is that what we should  
11 be looking at to answer these questions? Are  
12 these people on an individual basis likely to be  
13 helped?

14 DR. MATCHER: Well, the importance of  
15 this question, we're looking for a way of  
16 indirectly getting people information about what  
17 are they getting for whatever they are investing,  
18 whether it's time, money, their hopes, whatever it  
19 may be. And what I'm saying is you could take  
20 this data and modify it so it will be numbers that  
21 say what are the numbers that you need to treat in  
22 order to gain a benefit that is a big benefit, so  
23 you're likely to, if you say out of five or ten  
24 patients, one patient might have this result.  
25 People might argue about whether it's an

00190

1 appropriate thing to do with a population level or  
2 individual level, but that could be done.

3 DR. GARBBER: Alex.

4 DR. KRIST: Just a couple of questions.

5 I just wanted to clarify about the statement with  
6 the American Academy of Ophthalmology technology  
7 evaluation on OCT and that being a superior  
8 diagnostic test. I just wanted to confirm that on  
9 the types of studies those were based on, most of  
10 the studies I've seen that were looked at here  
11 compared sensitivity and specificity versus  
12 angiogram or something like that. Was that the  
13 level of evidence that you were making your  
14 statements from?

15 DR. WILLIAMS: Well, it was not  
16 compared to fluorescein angiography, which is  
17 generally accepted to be a poor indicator for  
18 macular edema. The standard technique for  
19 detection of macular edema is stereoscopic fundus  
20 photography or biomicroscopy, and there was a  
21 randomized trial that looked at that correlation,  
22 and found some of those. These studies looked  
23 primarily at the ability to determine macular  
24 edema, thickening of the retina, and found that  
25 with OCT we were able to detect increased

00191

1 thickening of the retina that could not be  
2 detected with just biomicroscopy.  
3 DR. GARBBER: This is the last question  
4 and then we're moving into open deliberations.  
5 Mark.

6 DR. FENDRICK: I saw our esteemed  
7 chairman looking at his watch and cutting you off,  
8 but some of the panelists who know me well, I have  
9 to ask one last question, which goes back to this  
10 superb evaluation by the CMS folks, and Alex and  
11 Alan, I've asked this of people in numerous  
12 specialties when we're conflicted and confused  
13 about the issues that are raised in the summary  
14 about why we don't have standardized inclusion and  
15 exclusion criteria and why we don't have an  
16 agreement among all the studies with all the  
17 innovations, why we don't have standardized  
18 outcomes? It's very peculiar, I think.  
19 Why can't you either choose among  
20 yourselves or ask for help from the outside, but  
21 the fact is, we're often asked to compare apples  
22 and oranges. This is not just unique to diagnosis  
23 and treatment for age-related macular  
24 degeneration, it's something we see over and over  
25 again. But there does seem to be a united front

00192

1 among the major investigators in the field that  
2 you feel you could actually pull this off in  
3 future studies. I don't think anyone on this  
4 panel, as long as we had the outcome measures in  
5 particular that covered surrogate outcomes that  
6 you all agree upon, whether angiography or the  
7 newer things, whatever else, and whether you used  
8 the same visual acuity, which you appear to do,  
9 and you should probably agree on the quality of  
10 life.

11 So I guess my question is, why is it  
12 that we have to read these studies and the  
13 outcomes are always different? And they blame it  
14 on the manufacturers, blame it on the  
15 organizations, but what it comes down to is when  
16 we get together for our deliberations, can't we  
17 get agreement that in moving forward, that in all  
18 these great studies that guys like you have done,  
19 they're all over the chart, and yet we have to  
20 compare them and it becomes very confusing.

21 DR. BRESSLER: It wasn't done to  
22 confuse you.

23 DR. FENDRICK: I think there is someone  
24 that did that intentionally.

25 (Laughter.)



00193

1 DR. BRESSLER: We have changed some  
2 outcomes as the expectation of the treatment has  
3 changed, so with much apology, it used to be for  
4 laser photocoagulation, you either lost a lot of  
5 vision, six lines or more, or you didn't, and that  
6 was the outcome. As we got a little more  
7 sophisticated treatments, we went for a three-line  
8 loss. And there is an argument in the community  
9 whether two or three lines is clinically relevant,  
10 and so then you see that argument reflected,  
11 whether either two or three lines is a clinically  
12 relevant outcome.  
13 We do have some vary fairly good  
14 standardization from the FDA trials where three  
15 lines, 15 letters is the primary outcome, so  
16 that's good, and that was for loss. And now that  
17 we see that some of these treatments to many  
18 people's surprise could actually improve vision,  
19 we're finding that a three-line gain may become a  
20 primary outcome for future trials.  
21 And finally, you're right, we're at the  
22 point where we're just starting with the NEI-VFT  
23 and we're trying to define right now what should  
24 be a primary outcome. We're pretty much in  
25 agreement in the early stage here that there is a

00194

1 definite change, but trying to establish a minimum  
2 clinically relevant change and that is somewhere,  
3 as you saw in the Duke presentation, between five  
4 and ten, and we don't know what that is. So I  
5 think in a nutshell is where we are. It is our  
6 goal to be able to compare across trials and to do  
7 future trials with similar outcomes without  
8 getting stuck to not be able to go forward. We  
9 certainly appreciate your critique and agree that  
10 the goals should be fairly comparative.

11 DR. FENDRICK: Is there any structure  
12 in place? If there is anything out there now, I'd  
13 like to know that.

14 DR. BRESSLER: Informally.

15 DR. GARBER: Let me just ask another  
16 aspect question. There are two issues about  
17 differences between trials, one is they have  
18 different measures altogether, and the other is  
19 that you're looking at the same measures but these  
20 are distributions that shift, like number of lines  
21 visual loss or improvement, and you're using  
22 different cutoffs. But your raw data enables you  
23 to answer the question, what's the chance of going  
24 two lines, three lines, et cetera.

25 I have a really simple question. Would

00195

1 it be feasible in future meetings for you  
2 investigators to present us the entire  
3 distributions in some sense so that we can compare  
4 apples to apples instead of oranges, one uses two  
5 lines, one uses three lines, is that --  
6 DR. BRESSLER: We encourage in the  
7 Journal reports to provide the distribution of  
8 changes in visual acuity and to provide the  
9 distribution of the absolute levels of visual  
10 acuity, in addition to whatever the primary  
11 dichotomous outcome shows in addition to the  
12 means, whether it's sensitive, whether it's not,  
13 as to all relevant outcomes. So many of these  
14 problems, the tables do have those.  
15 DR. GARBER: Okay, great.  
16 DR. SLAKTER: I just wanted to address  
17 the first part of your question, which was your  
18 sense that it's a little confusing not only in  
19 outcome but in our patient selection. I think to  
20 understand the different treatment modalities, we  
21 began with a therapy that was a destructive  
22 approach, we took lasers, we had to select lesions  
23 not under the center. We then moved into an era  
24 where we could deliver a spot of light to a  
25 particular area which was a well-defined area that

00196

1 we had to select, and also were able to select the  
2 area based on the amount of blood present in the  
3 lesion and also the mechanical size of the spot to  
4 be delivered. Now we're finding as the results of  
5 clinical trials we can apply it to more lesion  
6 types, and they can be more spread out.  
7 But you have to understand that even  
8 within that kind of realm, there is a different  
9 photoactivity or biology of the different drugs.  
10 So when selecting a clinical drug, you may have a  
11 drug that you believe is appropriate to a type of  
12 neovascularization for that type of drug pattern.  
13 So unfortunately, while we'd like to standardize  
14 outcomes, it may not be so easily standardized  
15 across all these trials because of the types of  
16 treatment we're using, particularly when we move  
17 to combination therapies.  
18 DR. FENDRICK: But very quickly, you're  
19 not an expert talking to your peers.  
20 Ophthalmologists in the community, do they have  
21 the ability to basically know that certain  
22 patients should go down certain paths?  
23 DR. SLAKTER: That question is  
24 difficult and in the community right now, we're  
25 still trying to answer that, so if you're having

00197

1 difficulty, so are we.

2 DR. GARBER: Thank you. Now we are  
3 going into open deliberation. We have a scheduled  
4 break and I want to get the sense of the committee  
5 if we can do without the break and continue on our  
6 current course. If you have to leave the room  
7 momentarily, we will understand, but otherwise, we  
8 will continue on.

9 Let me just ask that if the speakers  
10 can stay a little bit longer in case there are  
11 further questions, that will be great. We don't  
12 always think of all the questions we have for you  
13 during the formal question session, so if you  
14 could continue to be available for us to draw on  
15 you as resources, that would be very helpful.  
16 Stuart, I think you were going to put  
17 up the voting questions. While he's putting up  
18 the voting questions, particularly for the first  
19 question about the different measures, I wanted to  
20 ask the committee if they would feel comfortable  
21 if we maybe did a straw poll first, a completely  
22 nonbinding vote on where we stand on answering the  
23 questions for these 11 measures and then we  
24 discuss the real vote, would that suit the  
25 committee? Okay. Just so we can find out which

00198

1 areas are likely to be areas of consensus and  
2 which ones there is disagreement on.  
3 MR. CAPLAN: I will read these  
4 questions one more time.  
5 Panel question number one. Each of the  
6 following have been reported as measures of  
7 disease activity or outcome in AMD. Some are  
8 direct measures of visual outcome, unambiguously  
9 representing visual aspects of patient well-being.  
10 Others are intermediate endpoints, meaning that  
11 they are intended to predict visual outcomes, even  
12 if they are not direct measures of outcomes  
13 themselves.  
14 For each of the measures below, how  
15 confident are you that it is valid as a measure of  
16 visual outcome? If it is not a valid measure of  
17 visual outcome, how confident are you that it is a  
18 valid intermediate endpoint?  
19 Those measures are: Visual acuity, the  
20 VFQ 25, extent of choroidal neovascularization,  
21 Amsler grid, Drusen extent and progression,  
22 geographic atrophy, glare recovery, contrast  
23 sensitivity, fluorescein angiography, visual  
24 fields, and ocular coherence tomography.  
25 So that's the end of that part of

00199

1 Question 1, Alan. Would you like to proceed with  
2 that section?

3 DR. GARBBER: Yes. So we have a rather  
4 complex task here and I think the first part is,  
5 can we form a consensus about which of these  
6 measures should even be considered final endpoints  
7 and which should only be considered intermediate  
8 endpoints, and then go one by one over to  
9 validity, okay? Mark.

10 DR. FENDRICK: One clarification. So  
11 for the indirect measures that Cliff has alluded  
12 to, if you're now suggesting the possibility that  
13 we just go in order, 1 through 11, or that we do  
14 it by six and five, what if we believe that the  
15 surrogate measure actually measures the surrogate  
16 measure beautifully but you have no confidence  
17 that the surrogate measure has any value in visual  
18 outcome, so how would you want us to vote there?

19 DR. GARBBER: Well, for the first stage,  
20 it's already been considered as a surrogate  
21 outcome, and the answer to that question is yes,  
22 and the second stage is is it valid? Now valid  
23 surrogate outcome, intermediate outcome is  
24 actually the term.

25 DR. FENDRICK: The way it's written

00200

1 is --  
2 DR. GARBER: It says intermediate  
3 endpoint, and a valid intermediate endpoint means  
4 it has to predict a final outcome.  
5 DR. BURKE: Right. It's only as good  
6 as the link.  
7 DR. FENDRICK: Right. But we heard  
8 from the world's experts that there are no data to  
9 inform us on that point.  
10 DR. GARBER: That dictates how you  
11 would vote.  
12 DR. FENDRICK: Okay.  
13 DR. GARBER: Cliff, did you want to say  
14 something?  
15 DR. GOODMAN: The question comes back  
16 to whether these are direct or indirect measures  
17 of visual outcome.  
18 DR. GARBER: Yeah.  
19 DR. GOODMAN: So you could have a real  
20 fine surrogate outcome, very precise, but if it  
21 isn't correlated with visual outcome, then it is  
22 not valid for us today.  
23 DR. GARBER: Then it is not valid, yes,  
24 it is not valid in that case. So maybe the way we  
25 can proceed is first, if we could just take a vote



00201

1 on whether each one of these should be considered  
2 as a final outcome, that is a measure of patient  
3 well-being, or should it be evaluated as an  
4 intermediate outcome. And no, you can't vote  
5 twice for one measure, it's got to be one or the  
6 other, okay? So for the first round we're going  
7 to vote, should this be considered a final outcome  
8 and evaluated for its AMD uptake, or should it be  
9 considered as an intermediate endpoint.  
10 DR. GOODMAN: Does final outcome mean  
11 final visual outcome?  
12 DR. GARBER: Final outcome as a measure  
13 of well being, vision related, the visual aspects  
14 of well being.  
15 DR. BURKE: So it's qualified.  
16 DR. GARBER: So we're going to go one  
17 by one. The first vote is should it be considered  
18 a final outcome. The second vote, should it be  
19 considered as an intermediate outcome. And you  
20 only vote one or the other. Okay?  
21 DR. PUKLIN: Can you have an  
22 intermediate outcome as a final part of the study?  
23 DR. GARBER: That's what happens  
24 sometimes, but that's not what we're voting on.  
25 The question is, how should we evaluate it, as a

00202

1 final outcome or as an intermediate endpoint.  
2 Okay. First, visual acuity, all those  
3 that think it should be considered as a final  
4 outcome, raise their hand.  
5 (Unanimous response.)  
6 DR. GARBER: All those who think it  
7 should be considered an intermediate endpoint.  
8 (No response.)  
9 DR. GARBER: VFQ 25, how many would  
10 treat that as a final outcome?  
11 (Hands raised.)  
12 DR. GARBER: And how many as an  
13 intermediate endpoint?  
14 (Hands raised.)  
15 DR. WEINBERG: Are we going to lump VFQ  
16 and VTF together?  
17 DR. GARBER: Lumping together, yes.  
18 Okay. Extent of CNV, how many think it should be  
19 considered as a final outcome?  
20 (Hands raised.)  
21 DR. GARBER: How many think it should  
22 be considered as an intermediate endpoint?  
23 (Hands raised.)  
24 DR. GARBER: Amsler grid, how many  
25 think it should be considered final outcome?

00203

1 (Hands raised.)  
2 DR. GARBER: How many as an  
3 intermediate endpoint? Let's do that again. We  
4 don't like extensions at this stage.  
5 DR. WEINER: Is there a none of the  
6 above?  
7 DR. FENDRICK: No neither.  
8 DR. GARBER: No neither. If you think  
9 it has no value, if you think for example its best  
10 shot is as an intermediate outcome, you can say  
11 that, but that doesn't mean you're going to say  
12 it's valid or not. We're only thinking about how  
13 to evaluate it.  
14 DR. FENDRICK: Okay.  
15 DR. GARBER: Amsler grid, how many  
16 think it should be considered a final endpoint?  
17 (Hands raised.)  
18 DR. GARBER: Okay, three. How many  
19 think it should be considered as an intermediate  
20 endpoint?  
21 (Hands raised.)  
22 DR. GARBER: Okay. Drusen  
23 extent/progression, final outcome?  
24 (Hands raised.)  
25 DR. GARBER: Intermediate endpoint.

00204

1 (Hands raised.)  
2 DR. GARBER: Geographic atrophy, final  
3 outcome.  
4 (Hands raised.)  
5 DR. GARBER: Intermediate endpoint.  
6 (Hands raised.)  
7 DR. GARBER: Glare recovery, final  
8 outcome.  
9 (Hands raised.)  
10 DR. GARBER: Intermediate endpoint.  
11 (Hands raised.)  
12 DR. GARBER: Contrast sensitivity,  
13 final outcome.  
14 (Hands raised.)  
15 DR. GARBER: Intermediate endpoint.  
16 (Hands raised.)  
17 DR. GARBER: Fluorescein angiography,  
18 considered as a final outcome?  
19 (No response.)  
20 DR. GARBER: Intermediate endpoint.  
21 (Unanimous response.)  
22 DR. GARBER: Visual fields, final  
23 outcome?  
24 (No response.)  
25 DR. GARBER: Intermediate endpoint.

00205

1 (Unanimous response.)  
2 DR. FENDRICK: What is it?  
3 DR. BRECHNER: Peripheral vision, a  
4 measure of the field.  
5 DR. GARBER: We mean standard vision  
6 field tests, right?  
7 DR. BRECHNER: Yeah.  
8 DR. GARBER: Standard visual field  
9 tests. Visual tests, should that be considered a  
10 final outcome?  
11 (No response.)  
12 DR. GARBER: Or intermediate endpoint.  
13 (Unanimous response.)  
14 DR. GARBER: So that's intermediate.  
15 And then finally, OCT, final outcome?  
16 (Hands raised.)  
17 DR. GARBER: How many for intermediate  
18 endpoint?  
19 (Hands raised.)  
20 DR. KLEIN: Can I just make a comment?  
21 This is dealing with anatomy and function rather  
22 than final and intermediate. Final is also  
23 confusing.  
24 DR. GARBER: I think the intent of  
25 final outcome is what do we think is valid as a

00206

1 measure of something that patients experience  
2 themselves, as opposed to something like a lab  
3 test that might give you a final outcome.  
4 DR. ABECASSIS: I agree, I think it's  
5 rather confusing because if you're looking for  
6 visual acuity tests, it may not be the final state  
7 of visual fields, it could be an intermediate test  
8 that's taken and it could get worse or better, so  
9 I think that there is some confusion about the  
10 finality of the word final.  
11 (Laughter.)  
12 DR. PUKLIN: Aren't you really asking  
13 about primary endpoints and secondary endpoints?  
14 DR. GARBER: Yeah, that's one way of  
15 looking at it, but the question, what we call  
16 final outcome in these questions is something that  
17 would be a valid measure of outcome. So if you  
18 sought improvement in something and in no other  
19 measure that we looked at, did you consider that  
20 good enough to establish that the treatment made  
21 the patient better in the way that they treated  
22 the patient. So when you talk about some of  
23 these, when there is some ambiguity whether they  
24 should be intermediate or final, I think sometimes  
25 the issue is it may simply not be that important

00207

1 as a final outcome even though it could be phrased  
2 as such. So that's something to come out in our  
3 discussion of whether they are valid. Right now  
4 we are only really concerned about where to  
5 pigeonhole the discussion.  
6 DR. KLEIN: But with visual acuity and  
7 visual field defects, one having been voted for  
8 final, the other having been voted to be  
9 intermediate, highlights the point I was trying to  
10 make. They should be the same whatever we decide.  
11 DR. GARBER: So if you want to revisit  
12 the visual fields. Is the head of the FDA  
13 ophthalmology branch here, someone who can comment  
14 on the visual fields question?  
15 DR. CHAMBERS: Wiley Chambers, FDA.  
16 Visual fields measurement is the definition of how  
17 well you see not dead center but in different  
18 areas. If you're going to take visual acuity as a  
19 direct measure, you can only take visual fields  
20 also as a direct measure, and the fields is the  
21 extent to which you see dead center or you see off  
22 to the side, so they can't be different, whether  
23 direct or indirect.  
24 DR. BURKE: I think another way of  
25 looking at the surrogate outcomes and true

00208

1 outcomes, the end result is the true outcome. So  
2 for example with our cardiac stents, we have  
3 Dopplers for MI, and chest pain would be a  
4 surrogate outcome. So another way of thinking  
5 about it is, is this really a surrogate true  
6 outcome later on, all right? So instead of  
7 intermediate, the surrogate is used to indicate  
8 something later on is going to happen.  
9 DR. GARBER: Yeah. I always thought  
10 chest pains were a real outcome, personally.  
11 DR. PUKLIN: Aside from the (inaudible)  
12 presenters commented about the usefulness of tests  
13 such as OCT but not directly correlatable to  
14 whether the disease process is finally under  
15 control or in remission, or the patient is getting  
16 the maximum benefit from the therapy. The bottom  
17 line comes down to the visual acuity, and perhaps  
18 all these other things which are descriptive of  
19 the anatomy or tests that are unreliable such as  
20 the Amsler grid are secondary helpful measures but  
21 not the primary, but secondary measures. So I  
22 would like to suggest that may help to clarify the  
23 concept so we have people expressing an opinion on  
24 the concept that we are considering.  
25 DR. ABECASSIS: Can I make a motion? I



00209

1 don't know if you may want to just consider  
2 discussing primary endpoints and secondary  
3 endpoints, and then deciding whether or not if  
4 they are strong primary endpoints or strong  
5 secondary endpoints.  
6 DR. GARBBER: Well, primary and  
7 secondary endpoints, there is an important  
8 distinction here and the nomenclature is used  
9 different ways in different contexts, which is one  
10 reason for the choice of the term intermediate.  
11 The secondary endpoint simply means something that  
12 was considered to be important to include as a  
13 predefined endpoint in a trial but not important  
14 enough to be the number one endpoint to look at,  
15 and there are many considerations that go into  
16 choice of primary and secondary endpoints.  
17 An intermediate endpoint is often a  
18 secondary endpoint but not necessarily.  
19 Intermediate endpoint means it is not the health  
20 outcome that patients value, but it may be a very  
21 strong predictor. An example would be blood  
22 cholesterol level or blood pressure level, where  
23 the patient doesn't experience their cholesterol  
24 level, but a physician --  
25 DR. BURKE: Or PSA.

00210

1 DR. ABECASSIS: Or ROCT.

2 DR. GARBER: Right. So that's the  
3 reason for the term intermediate, and then they  
4 are evaluated in terms of whether they actually  
5 predict these final health outcomes.

6 DR. BURKE: So getting back to the FDA  
7 position, it just seems that anything the patient  
8 is reporting on, visual acuity, the Amsler grid,  
9 glare recovery, contrast sensitivity, visual  
10 fields, all seem to be in the same bailiwick  
11 conceptually, and if we're going to put visual  
12 acuity in as a final, then why should these other  
13 ones not also be final, right?

14 DR. WEINER: Harry, the problem is  
15 there are two dimensions. That's one. The other  
16 is reliability and validity, and you're saying we  
17 to put them both in.

18 DR. BURKE: No, no, I'm just saying  
19 we're categorizing into one of two categories.  
20 All these struck me as being in the same category,  
21 things that the patient is directly reporting on,  
22 okay? So if you say that the final visual acuity  
23 is a final outcome, then the rest of these things,  
24 good or bad, are the same genre.

25 DR. GARBER: Let me say that what you

00211

1 say is certainly logical, but there is another  
2 reason that you might not want to assign them in  
3 the same category. That is, you may think of  
4 something that's a very weak final endpoint, like  
5 in the Amsler grid, but if there were data showing  
6 that performance on the Amsler grid predicted very  
7 likely, and it's not, but say something like a  
8 measure that everybody accepts, like VFQ 25, then  
9 it should be evaluated as an intermediate  
10 endpoint. It might be a very strong intermediate  
11 endpoint even if this group did not feel good it  
12 was a good measure of final visual outcomes.

13 DR. BURKE: I appreciate that point in  
14 that view. You know, everything, I just at first  
15 blush, I was just suggesting you take the simplest  
16 approach and categorize everything that looks the  
17 same in the same category.

18 DR. GARBER: Let me ask how many  
19 people, I understand there's a sentiment to revote  
20 on visual fields, especially because not everyone  
21 understood exactly what that was intended to  
22 measure. Do we want to revote on the other ones  
23 too?

24 DR. BURKE: I already voted that they  
25 were final.

00212

1 DR. ABECASSIS: Can we ask, what were  
2 the initial results?  
3 DR. GARBBER: Visual acuity was final;  
4 VFQ 25, final; extent of CNV was intermediate;  
5 Amsler grid, intermediate; Drusen  
6 extent/progression was intermediate; geographic  
7 atrophy, intermediate; glare recovery,  
8 intermediate; contrast sensitivity was final;  
9 fluorescein angiography, intermediate; visual  
10 fields, intermediate; and OCT, intermediate.  
11 DR. ABECASSIS: So the ones that would  
12 be questionable, I think, given our discussion  
13 just now would be glare recovery, contrast  
14 sensitivity, or the Amsler grid and glare  
15 recovery, and visual fields.  
16 DR. BURKE: Exactly.  
17 DR. GARBBER: Let's look at the vote on  
18 those because there will be some people who might  
19 want to change their votes. Amsler grid, how many  
20 think it should be treated as a final?  
21 (Hands raised.)  
22 DR. GARBBER: And how many as  
23 intermediate?  
24 (Hands raised.)  
25 DR. GARBBER: So that will be treated as

00213

1 final. Glare recovery, how many think it should  
2 be final?  
3 (Hands raised.)  
4 DR. GARBER: And how many intermediate?  
5 (Hands raised.)  
6 DR. GARBER: Final. Visual fields, how  
7 many think it should be treated as final?  
8 (No response.)  
9 DR. GARBER: And how many think it  
10 should be intermediate?  
11 (Unanimous response.)  
12 DR. GARBER: Okay, let's move on. Any  
13 other desires to change the classifications?  
14 Jonathan.  
15 DR. WEINER: Sorry to ask, but if our  
16 role here is to make recommendations to the world  
17 and the field in what we're suggesting as the  
18 measures CMS would like to get back to them, and  
19 that will happen by the time we're finished with  
20 this, is this the last time we are going to  
21 address this issue? I don't see a question for  
22 reliability or validity, so that's why I'm asking.  
23 DR. BURKE: It's coming, it's in the  
24 questions.  
25 DR. PHURROUGH: You haven't voted on

00214

1 any of the questions yet. Any voting that you  
2 have done thus far has nothing to do with the  
3 questions yet.

4 DR. GARBER: Okay. So Jonathan, my  
5 understanding is yes, we are supposed to be doing  
6 some guidance to CMS on this matter. Okay. Now,  
7 visual acuity, how confident are you that that is  
8 valid as a measure of visual outcome?

9 DR. KLEIN: I think it's unfair to just  
10 do it yes or no. Where are our cards?  
11 (Inaudible colloquy.)

12 DR. GOODMAN: Are you confident is a  
13 binary; how is not.

14 DR. GARBER: Unfortunately, there are a  
15 set of guidelines that would help you to answer  
16 this which have not been approved yet.

17 DR. ABECASSIS: How about highly,  
18 moderately, not at all?

19 DR. BURKE: We always had the cards  
20 with one through five historically, we just don't  
21 have the cards today, but forget the past.

22 DR. GARBER: Steve, do you want to  
23 comment?

24 DR. PHURROUGH: I think we're probably  
25 better served by, without getting to the level of

00215

1 a one-to-five scale, do you think there is some  
2 validity to this particular measure, a binary  
3 question, do you have some confidence.  
4 DR. GARBBER: Actually, Steve, and I  
5 hate to tell you what would serve you best, but I  
6 think it would be much better if we had, say, some  
7 that are highly confident, some not at all, and  
8 then have a gray area where there is some but  
9 limited evidence where it's not at all clear-cut.  
10 DR. LUCE: Dr. Stone provided guidance  
11 as to highly, somewhat, or minimally.  
12 DR. GARBBER: That's three categories.  
13 So, are people comfortable with that. The first  
14 category means that a trial demonstrates an  
15 improvement on the health outcome and clearly  
16 demonstrates a patient benefit, okay? The third  
17 outcome means it contributes virtually nothing,  
18 and the second one we're uncertain about, okay?  
19 DR. WEINER: You said patient benefit,  
20 is that different?  
21 DR. BURKE: It's just measuring, is it  
22 a valid measure, is what we're asking about,  
23 either it is or it isn't, or you have no idea.  
24 DR. GARBBER: Okay. So we'll say that  
25 one means definitely valid outcomes; two means

00216

1 we're unsure; and three means that it's not, okay?  
2 Visual acuity, how many ones?  
3 (Unanimous response.)  
4 DR. GARBER: How many twos?  
5 (No response.)  
6 DR. GARBER: How many threes?  
7 (No response.)  
8 DR. GARBER: VFQ 25, and that also  
9 includes the VF 14, how many ones?  
10 (Hands raised.)  
11 DR. GARBER: How many twos?  
12 (Hands raised.)  
13 DR. GARBER: How many threes?  
14 (Hands raised.)  
15 DR. GARBER: Okay, so the ones carry.  
16 (Discussion off the record.)  
17 DR. GARBER: Okay. Extent of CNV --  
18 I'm sorry, that was intermediate, so Amsler grid  
19 was a final. Amsler grid, how many ones?  
20 (No response.)  
21 DR. GARBER: How many twos?  
22 (No response.)  
23 DR. GARBER: How many threes?  
24 (Unanimous response.)  
25 DR. GARBER: Glare recovery, how many



00217

1 ones?  
2 (No response.)  
3 DR. GARBER: How many twos?  
4 (No response.)  
5 DR. GARBER: How many threes?  
6 (Unanimous response.)  
7 DR. GARBER: Contrast sensitivity?  
8 One?  
9 (Hands raised.)  
10 DR. GARBER: Two.  
11 (Hands raised.)  
12 DR. GARBER: And three.  
13 (Hands raised.)  
14 DR. GARBER: Jonathan?  
15 DR. JAVITT: I'm a little concerned  
16 because there's been no public discussion of  
17 contrast sensitivity as a measure because until a  
18 moment ago it wasn't all that relevant. The main  
19 difference between contrast sensitivity is it is a  
20 measure where you can see a dark black letter  
21 against a bright white background, and that letter  
22 then starts to become less distinct. And there is  
23 a raft of literature that has appeared recently  
24 that does not correlate visual acuity very well  
25 with slips and falls, with vehicular accidents,

00218

1 with other disasters that befall elderly  
2 Americans, but does correlate contrast sensitivity  
3 with all of those adverse outcomes.  
4 Anybody, or many people can see a black  
5 E on an eye chart in a dark room when they know  
6 the letter is an E, but that's very different from  
7 can you see a pedestrian who's wearing gray  
8 clothes along the side of the curb as the dusk is  
9 coming in. One of the most dramatic studies was  
10 recently published in the literature looked at a  
11 number of traffic intersections around the country  
12 the day before and the day after daylight savings  
13 time was initiated, so the only difference was one  
14 hour more sunlight or one hour more contrast, and  
15 there was a four-fold increase in vehicular  
16 collisions due to a lower contrast environment.  
17 So it could be that after a public  
18 argument about whether contrast sensitivity is  
19 meaningful and a consideration of the literature  
20 around contrast sensitivity, this panel would deem  
21 contrast sensitivity not to be significant, but I  
22 don't think that that literature has been asked or  
23 has been reviewed today.  
24 DR. PHURROUGH: Unless the panel asks  
25 for something, we should just keep going.

00219

1 DR. GARBER: Does the panel want to  
2 hear Mark on that subject? Okay. Visual fields?  
3 MR. CLARKE: Are we voting?  
4 DR. GARBER: Yes, we took care of that.  
5 Visual fields, how many vote one?  
6 (Hands raised.)  
7 DR. GARBER: Two?  
8 (Hands raised.)  
9 DR. GARBER: And three?  
10 (Hands raised.)  
11 DR. GARBER: Okay. Now we go back to  
12 intermediate outcomes and again here, for  
13 intermediate endpoints, we'll use the same one,  
14 two and three, and this is your confidence that it  
15 predicts a final endpoint that's valid, okay? The  
16 final endpoint that we believe is meaningful, are  
17 you confident that the intermediate endpoint  
18 predicts one or more of these final outcomes or  
19 some other measure that you find to be valid.  
20 So then we have the first intermediate  
21 endpoint, extent of CNV, and we can have  
22 discussions by the way, I don't mean to rush  
23 through the voting, these are real voting  
24 questions. So, any discussion on extent of CNV as  
25 an intermediate endpoint? Okay. All those who

00220

1 rate it one?  
2 (Hands raised.)  
3 DR. GARBER: Two?  
4 (Hands raised.)  
5 DR. GARBER: And three?  
6 (No response.)  
7 DR. GARBER: Drusen extent and  
8 progression, discussion? How many rate it one?  
9 (No response.)  
10 DR. GARBER: Two?  
11 (Hands raised.)  
12 DR. GARBER: And three?  
13 (Hands raised.)  
14 DR. GARBER: Geographic atrophy,  
15 discussion? One?  
16 (No response.)  
17 DR. GARBER: Two.  
18 (Unanimous response.)  
19 DR. GARBER: Three.  
20 (No response.)  
21 DR. GARBER: Fluorescein angiography,  
22 one?  
23 (No response.)  
24 DR. GARBER: Two.  
25 (Hands raised.)

00221

1 DR. GARBER: Three.  
2 (Hands raised.)  
3 DR. GARBER: And ocular coherence  
4 tomography. One?  
5 (Hands raised.)  
6 DR. GARBER: Two.  
7 (Hands raised.)  
8 DR. GARBER: Three.  
9 (Hands raised.)  
10 DR. GARBER: Okay. We did pretty good  
11 timing-wise, I'm not commenting on how you voted.  
12 Okay, B, which other currently available outcomes  
13 or intermediate endpoint measures should be  
14 considered? We've already added the VF 14.  
15 DR. FENDRICK: Several of the speakers  
16 spoke about reading speed; is that something we  
17 need to add?  
18 DR. PRICE: That could be implied from  
19 the VF 14, although there is a definite measure.  
20 DR. PUKLIN: Do these have to be  
21 validated tests at this point?  
22 DR. GARBER: The question is asking  
23 should they be considered, I don't think that  
24 means it has to be validated; is that correct?  
25 DR. PHURROUGH: Yes.

00222

1 DR. PUKLIN: Because there are some  
2 additional tests that are being used. One is the  
3 multifocal ERG, another one is microperiphery, and  
4 even a newer one is something called auto  
5 fluorescence. These are tests that can be done on  
6 the functioning of the retina that might be valid  
7 as intermediate endpoints.  
8 DR. GARBER: Would you place them as a  
9 two or as a one, or as a three?  
10 DR. PUKLIN: Two.  
11 DR. GARBER: Okay. Bryan.  
12 DR. LUCE: Utility of preference.  
13 DR. GARBER: If I were a voting member,  
14 I would second that.  
15 DR. LUCE: But you don't want to.  
16 DR. ABECASSIS: But we heard that those  
17 are not very sensitive.  
18 DR. GARBER: But we've seen evidence to  
19 the contrary.  
20 DR. WEINER: Will we have time to  
21 comment on negative outcomes or ectogenesis, or  
22 something that long-term CMS should monitor?  
23 DR. GARBER: Could you expand on that?  
24 DR. WEINER: I mean Dr. Javitt, for  
25 example, it was not directly relevant but it is

00223

1 indirectly relevant. If I were CMS, I would want  
2 to monitor not only the positive, but also the  
3 negative. Is this a place to raise that or later,  
4 is this a good place to raise it or perhaps I  
5 should let it go.

6 DR. GARBER: Maybe I can make one quick  
7 suggestion. I think that may absolutely need to  
8 be included but I'm not sure that's the case. I  
9 think there may be some side effects from  
10 therapies that are not eye-related side effects,  
11 and do you still want to include them? That's not  
12 really on the agenda today for today but it  
13 absolutely needs to be included one way or  
14 another.

15 DR. ABECASSIS: I'd like to ask a  
16 question of the ophthalmologists on the panel  
17 about possibly including, in my reading for  
18 preparation of this, there is some evidence that  
19 cytokines may be important. Is that something  
20 that is easily measurable or measured.

21 DR. KLEIN: Luken 6 can be measured,  
22 C-reactive protein can be measured, the evidence  
23 is not a slam dunk, it's more controversial. Some  
24 of the case control studies have demonstrated it  
25 and population-based studies have not found it, so

00224

1 I would put it more in the level of two at this  
2 point.

3 DR. GARBER: Okay, these are actually  
4 voting questions so we need to vote on the items  
5 that should be considered.

6 DR. LUCE: Just a point, in case it's  
7 not picked up, the question just says should be  
8 considered, as opposed to should be employed, so  
9 even though the measure may, we may be uncertain  
10 about whether a measure is really good, the  
11 question is should it be considered, so I think  
12 that's there's a lower threshold.

13 DR. KRIST: I think it's going to be  
14 kind of hard to vote on these as one, two or  
15 three, because we haven't had any opportunity to  
16 kind of review these.

17 DR. GARBER: I'm sorry. The question  
18 is not asking you to address whether they're  
19 valid. However, I would suggest that if you think  
20 it is so utterly speculative that we're going on  
21 no data at all, then we should probably say it  
22 shouldn't be considered at this time. But  
23 absolutely, this is not a vote on whether it's  
24 valid or not, it's just on whether it should be  
25 considered.



00225

1 DR. KRIST: Whether we should put it on  
2 a list of things.

3 DR. GARBER: Yes. James, could you  
4 restate the three items you mentioned?

5 DR. PUKLIN: I mentioned multifocal  
6 ERG.

7 DR. GARBER: So how many think it  
8 should be added to be considered?  
9 (Hand raised.)

10 DR. GARBER: How many do not?  
11 (Hand raised.)

12 DR. GARBER: So we've got one yes vote,  
13 one no vote, and a heck of a lot of abstentions.

14 DR. LUCE: We just need more  
15 information.

16 DR. PUKLIN: I can withdraw the  
17 suggestion. I read the question to indicate  
18 whether or not there might be other available  
19 measures that one might wish to consider in going  
20 forward with research in this area.

21 DR. PHURROUGH: I think the focus  
22 should be on things that we have discussed today,  
23 had some presentations about today, or you choose  
24 to take some time to have some discussion about  
25 them, rather than just a list of issues for which

00226

1 there have not thus far been any discussion. So  
2 I'm not sure if I recall this morning, C-reactive  
3 protein was listed in one talk, I think high speed  
4 angiography was discussed, and I think that's  
5 probably it.

6 MR. CLARKE: Some of this might be as  
7 these new technologies arise, I mean, that's a  
8 binary question and to that can be added, and this  
9 might be the following.

10 DR. GARBBER: That's a good suggestion.  
11 I think if I understand Steve's comment, what I'm  
12 really hearing is based on the discussion today,  
13 were there other things not on this list that  
14 maybe we should consider in the future, those that  
15 were discussed today so we have some basis for  
16 making some judgment about that. And so James,  
17 would it be okay if we skipped to that version or  
18 do you still want to have the three that you  
19 mentioned?

20 DR. PUKLIN: I will withdraw.

21 DR. PRICE: Would it be possible to  
22 collapse those three into one question, and have  
23 that new question be, if new measures are present  
24 or in the future available, what criteria should  
25 they meet in order to be able to serve as markers,

00227

1 and that would be like a likelihood ratio.

2 DR. BURKE: We could spend the rest of  
3 the afternoon on that.

4 DR. GARBER: That's not a simple  
5 question. Steve just turned to the panel saying  
6 we can't answer this question. If that's what the  
7 large number of abstentions meant, you can just  
8 say that. James.

9 DR. PUKLIN: I merely suggested these  
10 because these are tests that have been applied to  
11 some of these clinical studies and may be applied  
12 or utilized in macular degeneration studies going  
13 forward, and their role in outcome results have  
14 not been I think determined, but I thought it was  
15 the objective of the panel to discuss all the  
16 options going forward and this seemed appropriate  
17 there. If you would like to place it somewhere  
18 else or not consider it, that's fine.

19 DR. KRIST: That might also go under  
20 five, where are gaps in our knowledge. You're  
21 talking about things that may have advantages to  
22 measure in the future. Right now I'm not sure I'd  
23 want to see a study of those outcomes, but I think  
24 we have a gap in knowledge to say, are they  
25 predictive of our final visual outcome that we're

00228

1 hoping for?

2 MR. CLARKE: 1C is the binary question,  
3 understanding that as new therapies involve, we  
4 will probably need new outcomes measures, yes, no.  
5 And then 5 is more broad in terms of what might we  
6 need in the future broadly, you know. I think it  
7 is important to pick up things like ERG because it  
8 goes to the structure function, and we may not  
9 know how it affects the disease, but I would hate  
10 to miss that entirely.

11 DR. GARBBER: So Bill and Alex, are you  
12 suggesting that we pause in the discussion and put  
13 these issues all under 5?

14 MR. CLARKE: I think we can certainly  
15 treat 1C as a yes, no, and I won't begrudge what  
16 the answer will be, and then under 5, yes, we fold  
17 these in to show that as thoughts of the panel  
18 saying the gaps in our knowledge include  
19 understanding retinal function, understanding X,  
20 being able to get earlier intervention measures,  
21 something like that.

22 DR. GARBBER: And does that take care of  
23 what we need for 1B, so we don't need a separate  
24 vote on that?

25 DR. PHURROUGH: Let me see if I can --

00229

1 it's always simpler to come up with the questions  
2 than it is to give an answer. Company A shows up  
3 in our office and says we have this new gizmo  
4 drug, whatever, that we think is going to be great  
5 in treating AMD, and we're putting a trial  
6 together right now and we want you to tell us the  
7 outcomes that need to be in that trial for you to  
8 say we'll pay for it. That's the scenario. Are  
9 there any outcomes other than 1 through 11 that  
10 have been discussed today that you would have us  
11 CMS to tell Company A needs to be in that study  
12 based upon what we know today about AMD. So it's  
13 not a sort of futuristic question, it's more what  
14 do we know today, what should we tell this  
15 company, here are the outcomes for AMD, and the  
16 answer may be 1 through 11 is appropriate based on  
17 your validity votes.

18 DR. GARBER: All right. So Steve, to  
19 answer your question then, I think this would need  
20 to be phrased, what other currently available  
21 outcomes and intermediate endpoints are valid,  
22 because you presumably don't want them to come to  
23 you with something where it's purely speculative  
24 validity or unknown validity, right?

25 DR. PHURROUGH: We could in fact, if

00230

1 the information isn't sufficient today, you may be  
2 telling us, here's something you should look at  
3 and determine its validity before you offer that  
4 up as a requirement. So because there was not,  
5 these were not, anything outside of 1 through 11  
6 was not part of a discussion, they may well be  
7 valid, there was not enough information today  
8 because we didn't ask them to determine whether  
9 they're valid or not, but there's a potential for  
10 them to be valid, so it's something we should  
11 consider.

12 DR. GARBER: I have to say, I don't  
13 think this panel is prepared to say anything about  
14 potential for validity for stuff we haven't done a  
15 review of.

16 DR. PHURROUGH: Agreed, which is fine,  
17 I'm not asking you to do that. I'm saying, is  
18 there something that was discussed today that we  
19 ought to consider.

20 DR. GARBER: How about this? Of the  
21 universe of outcome measures discussed today, are  
22 there some that we think might be valid and should  
23 therefore be considered, other than 1 through 11?  
24 Is everyone comfortable with that?

25 MR. DOWNEY: I have just a brief

00231

1 question. Do we know what, or can you tell us  
2 what the FDA requires of developers, if it's  
3 different, or it's not on the list of 1 through  
4 11?

5 DR. CHAMBERS: Wiley Chambers, FDA.  
6 There are no additional tests that are, we would  
7 routinely require of a company for an AMD  
8 indicator as we already discussed, unless they  
9 were looking for a specific claim or target  
10 benefit, or something for some particular  
11 function. And we have a set of parameters that we  
12 accept and don't accept, but that's in the  
13 approval, we separate those two things.

14 DR. GARBER: Let me reread what I think  
15 I understand to be Steve's question. The revised  
16 one is, which other currently available outcomes  
17 or intermediate measures discussed at today's  
18 meeting should be considered by CMS? Are people  
19 comfortable with that wording?  
20 (Panelists indicating assent.)

21 DR. GARBER: So, we now have to have  
22 nominations for endpoints.

23 DR. FENDRICK: I move for none.

24 DR. BURKE: I second that.

25 DR. GARBER: Any further discussion?

00232

1 So, the motion is for a no answer to which other  
2 currently available outcome/intermediate measures  
3 discussed at today's meeting should be considered  
4 by CMS.

5 DR. WEINER: Hold on. The patient  
6 preference, that wasn't my idea, but I hate to see  
7 it go completely, and it was discussed briefly.

8 DR. LUCE: You mean utilities and  
9 preference.

10 DR. WEINER: Utility and preference.

11 DR. GARBER: Then you should vote no if  
12 you believe that should be considered. Okay. Is  
13 everybody clear? If you vote yes, it means 1  
14 through 11 cover the whole territory; if you don't  
15 believe that, you should vote no to the motion.  
16 Okay? All in favor of the motion to say zero is  
17 the answer?

18 (Hands raised.)

19 DR. GARBER: Five voting members; is  
20 that right? All those against the motion, raise  
21 your hands.

22 (Hands raised.)

23 DR. GARBER: Three. Okay. So we're  
24 not adding anything.

25 So 1C, is there a consensus of the



00233

1 group that 1C can be rolled into Question 5? All  
2 in favor?  
3 (Unanimous response.)  
4 DR. GARBER: Opposed?  
5 (No response.)  
6 DR. GARBER: Okay, D, 1D, what are the  
7 appropriate criteria for short-term and long-term  
8 outcomes for AMD treatments?  
9 DR. LUCE: I think there would be a  
10 benefit for that being explained better.  
11 DR. BURKE: I tried to get at that  
12 question earlier today about, you know, if you  
13 detect something earlier or wait six months to see  
14 if the vision changed, and I really didn't get a  
15 very clear answer as to short-term and long-term,  
16 but it needs to be clarified.  
17 DR. ABECASSIS: Can I maybe put the FDA  
18 on the spot again, because there is some question  
19 about long-term and short-term, so maybe the FDA  
20 can clarify.  
21 DR. BURKE: Sorry to put you on the  
22 spot.  
23 DR. CHAMBERS: Wiley Chambers, FDA.  
24 We're put on the spot all the time, it doesn't  
25 bother me. We have for AMD for better or worse

00234

1 decided that we wanted as at least one-year data,  
2 recognizing that these patients were older, that  
3 one year was a reasonable portion of the rest of  
4 their lives upon which to base efficacy, so we  
5 have said we want a minimum of one year of data in  
6 order to be able to approve it. We have wanted  
7 that to be in at least two-year trials, realizing  
8 that sometimes the answer at one year is different  
9 than the answer at two years, or that some people  
10 may choose to make that difference. We have  
11 encouraged continued follow-up after that, but  
12 recognizing the average age of these patients is  
13 older, we will lose follow-up, so we have not to  
14 date required anything beyond two years.

15 DR. BURKE: Would it be fair to say  
16 that you wouldn't allow a second intervention in  
17 the study in say six weeks if there was refraction  
18 from disease? In other words, there was a  
19 short-term outcome?

20 DR. CHAMBERS: Again, our preference is  
21 for people to try and go for the first year before  
22 there is an intervention in disease. However, we  
23 also define particular endpoints, we recognize  
24 certain amounts of visual loss as being  
25 detrimental to the patient from AMD, and if you

00235

1 achieve that endpoint, we will not block people  
2 from receiving whatever other therapies the  
3 physician believes is in the patient's best  
4 interests.

5 DR. BURKE: So, is there a time  
6 interval for that, a decline in visual acuity  
7 where they may use another intervention within a  
8 year?

9 DR. CHAMBERS: We have not set a time  
10 frame.

11 DR. BURKE: Because customarily there  
12 is some time interval.

13 DR. CHAMBERS: It is unusual to be less  
14 than six months, it is very unusual to be less  
15 than three months.

16 DR. BURKE: Thank you.

17 DR. GARBER: Mark.

18 DR. FENDRICK: I move to amend this  
19 question to appease Harry, to have it read, what  
20 are the appropriate criteria for short-term and  
21 long-term positive outcomes, or net beneficial  
22 outcomes, and then move to adopt the FDA one year  
23 for short-term and two years for long-term.

24 (Inaudible colloquy.)

25 DR. GARBER: Let me ask, and I don't

00236

1 know whether this should be directed to Steve or  
2 to Ross, but what the intent of the question is.  
3 One of the presenters, I forget who it was, showed  
4 different outcome measures used at different  
5 points in time, but one of the questions is what  
6 is the -- short-term in itself, that means if a  
7 company comes with their drug and they demonstrate  
8 improvement on that outcome, we send them the  
9 signal that's okay, even though that might not be  
10 valid six months later. Is that the intent of the  
11 question?

12 DR. BURKE: I think outcome is neutral,  
13 it can be positive or negative, and to focus on  
14 just the positive, if you miss the negative  
15 aspects, you can have a negative outcome in three  
16 months that may trigger it.

17 DR. GARBER: Your point is well taken.  
18 I'm just trying to find out what the intent was.

19 DR. BRECHNER: In looking over the  
20 literature, one of the studies that I mention did  
21 follow people for six years, and I believe the age  
22 population was between 65 and 80. So if you  
23 follow their reasoning, they didn't think six  
24 years was too long a time to follow somebody if  
25 they were old. Most of the other studies

00237

1 involving CNV involved one to two-year follow-ups,  
2 but new treatments are looking at effect in a  
3 shorter period of time. There are a couple new  
4 ones that are coming out and some of the data  
5 shows that some of these effects last for a period  
6 of time and then they talk about when is the next  
7 time, you treat a bunch today, so it's really hard  
8 for us to tell. But most of them are in the  
9 trials for a couple of years and I think it is  
10 reasonable to encourage them to follow them even  
11 longer to see what's going on when you treat them,  
12 how long does it last, et cetera. However, at  
13 current, most of them are one to two years.

14 DR. BURKE: We're talking about  
15 short-term outcomes, not short-term studies. In  
16 other words, if you have a long-term study with  
17 short-term, intermediate outcomes, we're on the  
18 way.

19 DR. ABECASSIS: Could I ask a question,  
20 and this is for the retinal ophthalmologists. If  
21 you're treating a patient and you're seeing a  
22 response by any one of these 11 measures,  
23 especially the anatomical ones, what would be a  
24 reasonable amount of time that you would want to  
25 see a response, the shortest period of time where

00238

1 you would want to see a response before you said  
2 there was no response? Would it be three months,  
3 would it be six months, would it be a week?

4 DR. BRESSLER: Three months is  
5 reasonable to say it looks like there may be no  
6 response.

7 DR. ABECASSIS: So, can I suggest that  
8 three months be short-term and a year would be  
9 long-term, and if there is disagreement with that,  
10 we can revisit it.

11 DR. BURKE: I think that's what the  
12 difficulty is. We're not talking about how long  
13 the study should go on, we're saying what kind of  
14 outcomes should you be looking at in terms of the  
15 patients. You're clearly going to have short-term  
16 outcomes. You're not going to wait a year if the  
17 patient continues to decline, right?

18 DR. PHURROUGH: The question wants to  
19 know when, it's not concerned about why, but when  
20 there are outcomes that should be looked at on the  
21 short-term basis and there are outcomes --

22 DR. BURKE: You said why, how about  
23 what?

24 DR. PHURROUGH: We're not asking the  
25 what, we're only asking the when question.

00239

1 DR. BURKE: Oh, that's easy.

2 DR. GARBER: I'm not positive that is  
3 an easy question, and one of the reasons it's not  
4 easy is the need to consider both negative effects  
5 as well as positive effects, and when we're  
6 talking about intraocular pressure that may not  
7 clinically be glaucoma at that point, what if  
8 glaucoma does develop but it takes two years or  
9 three years to develop? It seems to me that what  
10 constitutes long-term follow-up may very well vary  
11 with these particular treatments and its expected  
12 side effects.

13 DR. BURKE: I agree, so splitting the  
14 time from the treatment and from the task that you  
15 use to determine the outcome, I think is  
16 difficult. I mean, the simple question of what's  
17 short-term and long-term, irrespective of all  
18 these other issues, and we don't want to get into  
19 the details and kinds of outcomes and kinds of  
20 tests, that's another story, but I think the easy  
21 answer is just three months and one year.

22 MR. CLARKE: Is that the intent of the  
23 question from CMS?

24 DR. PHURROUGH: Yes, when is the intent  
25 of the question.

00240

1 MR. CLARKE: And I have to say, this  
2 discussion is around study design versus  
3 reimbursing.

4 DR. LUCE: These are solely coverage  
5 decisions.

6 MR. CLARKE: And when I read this, I  
7 took the intent to be, what is the minimal period  
8 of time through which outcome data could be  
9 presented and a reasonable determination of  
10 coverage could be made.

11 DR. PHURROUGH: No. The question is a  
12 trial design question, what is the earliest  
13 possible time that you would ever do an outcome  
14 measure for which you could see some change that  
15 was not insignificant, and what is the longest  
16 trial period of time under which you should follow  
17 a patient after which there will be no response to  
18 treatment.

19 DR. GARBER: I think that intent was  
20 not crystal clear to me from the wording of the  
21 yes, so I wonder, Steve, if you could rephrase the  
22 question.

23 DR. PHURROUGH: How short is short-term  
24 and how long is long-term.  
25 (Inaudible colloquy.)



00241

1 DR. PHURROUGH: Short-term outcome is  
2 that first point in time in which you will do a  
3 measurement, at which time you could find a  
4 clinically significant change.

5 DR. BURKE: Or no change.

6 DR. PHURROUGH: Or no change, but you  
7 would not measure it before then because it would  
8 not be clinically significant, whether it was an  
9 adverse outcome, or positive outcome, negative  
10 outcome, regardless of what the outcome is, what  
11 is that first point in time that you're going to  
12 measure.

13 DR. LUCE: So there's an agreement that  
14 the first threshold is three months, and the  
15 question to me is what's the second threshold.

16 DR. GARBER: I was trying to write  
17 while you were speaking, Steve, so tell me if I  
18 got your question right here. What is the minimum  
19 amount of time to determine a response to therapy.

20 DR. PHURROUGH: We want a definition of  
21 what is the short-term outcome and short-term  
22 being defined as the minimum amount of time to see  
23 a clinical change for which you would then perform  
24 an outcome measure.

25 DR. LUCE: So we need to know something

00242

1 about the efficacy of the treatments and at what  
2 point you're confident that you're seeing a change  
3 or that you won't see a change, and it sounds like  
4 it could be three months in both directions.  
5 DR. ABECASSIS: I think we should just  
6 have a short-term which I think has been very well  
7 defined and answered by the practitioners as three  
8 months. And then we should, I'm still a little  
9 confused about the long-term, so I think we should  
10 just answer the short-term and then discuss the  
11 long-term.  
12 DR. BURKE: I second that.  
13 DR. GARBER: So, it's just what are the  
14 appropriate criteria for -- it's not appropriate  
15 criteria, it's time frame.  
16 DR. BURKE: What is the definition for  
17 short-term.  
18 DR. ABECASSIS: The criteria, three  
19 months could be a criterion for short-term. So if  
20 that's how you phrase the question, then the  
21 answer is whatever time period you used that is  
22 effective is the time period for short-term.  
23 DR. PUKLIN: The criteria may actually  
24 be different than what's defined by the studies  
25 that have been reported, because Dr. Price has

00243

1 mentioned that he in his capacity at the carrier  
2 saw that some patients aren't completing all of  
3 their treatments and some of the protocols. So  
4 perhaps if you'd like to ask some of the  
5 investigators, it would seem to me that the  
6 shortest time interval might vary with the study  
7 type, so if one studied drug actually caused an  
8 outcome over a six-week period or 12-week period,  
9 and some may take longer, so perhaps having a  
10 uniform cutoff point may be inexact, imprecise.  
11 DR. ABECASSIS: It's the shortest.  
12 DR. BURKE: Right. I mean, when would  
13 you begin checking, you know.  
14 DR. PHURROUGH: If you're going to  
15 design a study, what is that first measurement of  
16 time that you are going to require in your  
17 protocol that all physicians follow?  
18 DR. PUKLIN: Is everyone in agreement  
19 today that three months was the earliest  
20 assessment?  
21 DR. BURKE: Yeah. I make a motion that  
22 short-term is defined as three months.  
23 DR. GARBER: What is the question  
24 though?  
25 DR. PHURROUGH: Give us the three

00244

1 months and we'll live with it.  
2 DR. GARBER: Okay, so the answer is  
3 three months, that's what we're voting on, the  
4 answer is three months.  
5 DR. BURKE: Correct.  
6 DR. GARBER: All in favor.  
7 (Hands raised.)  
8 DR. GARBER: All opposed?  
9 (Hands raised.)  
10 DR. GARBER: All hopelessly confused?  
11 Okay, it carries.  
12 Number 2. At present, usual and  
13 approved care --  
14 SPEAKER: What about long-term?  
15 DR. GARBER: Steve, what is the  
16 question?  
17 DR. PHURROUGH: In designing a trial  
18 for treatment of AMD, how long would you follow  
19 patients, what would be the term of a long-term  
20 outcome in following patients being treated for  
21 AMD?  
22 SPEAKER: Is this a minimum?  
23 DR. PHURROUGH: Where you're going to  
24 end the trial, the trial is not going to go out  
25 forever, it's going to finish. So if someone

00245

1 comes to us, we're going to have to tell them, if  
2 you don't carry this trial to this length of time,  
3 we're not going to consider the data.  
4 DR. GARBER: So it's the minimum amount  
5 of time.  
6 DR. BURKE: Could it be a range, could  
7 it be one to two years?  
8 DR. WEINER: How about at least a year,  
9 preferably longer?  
10 DR. PHURROUGH: Give us a number, whole  
11 number, number of months.  
12 DR. BURKE: 12.  
13 DR. GARBER: Okay. I think I heard a  
14 motion that the minimum is 12 months. Do I have a  
15 second?  
16 DR. FENDRICK: Second.  
17 DR. GARBER: Any discussion? All in  
18 favor?  
19 (Hands raised.)  
20 DR. GARBER: Opposed?  
21 (Hands raised.)  
22 DR. WEINER: Can we add that we prefer  
23 longer?  
24 DR. GARBER: We just voted that minimum  
25 means at least 12 months.

00246

1 DR. BURKE: And longer is better.

2 DR. GARBBER: The sense of the panel is  
3 longer is better than 12 months.

4 Now, number two. At present, usual and  
5 approved care for AMD commonly includes  
6 photodynamic therapy with verteporfin, laser  
7 photocoagulation, intravitreal injection of  
8 pegaptanib, and oral vitamins, antioxidants and  
9 zinc. A, How confident are you that there is  
10 sufficient evidence to assess the health benefit  
11 of these modalities compared to watchful waiting  
12 only? Are people comfortable using the one, two,  
13 three classification? Okay. Any discussion? So,  
14 we can take these as a group. I point out -- you  
15 want to do them individually? Let's start with  
16 verteporfin. Any discussion before voting?

17 DR. LUCE: Just one piece of discussion  
18 here and that is in terms of health benefit, I'm  
19 not sure about that particular product, but  
20 there's a difference between (inaudible) and  
21 visual acuity, which has been really the standard  
22 care. And so the terms health outcomes, I presume  
23 visual acuity will suffice here?

24 DR. GARBBER: I think that's your call.

25 DR. KRIST: We could argue that we just

00247

1 defined this in Number 1, and in Number 1 we said  
2 what the outcomes should be and what the time  
3 frames should be, so putting this in the context  
4 of how it flows, Number 2 would be, which adhere  
5 to the guidelines that we just voted on.  
6 DR. GARBER: Okay, verteporfin, all  
7 those rating this as a one, meaning that you're  
8 highly confident?  
9 (Unanimous response.)  
10 DR. GARBER: Number two?  
11 (No response.)  
12 DR. GARBER: And number three.  
13 (No response.)  
14 DR. GARBER: Laser photocoagulation.  
15 One?  
16 (Hands raised.)  
17 DR. GARBER: Two.  
18 (Hands raised.)  
19 DR. GARBER: And three.  
20 (No response.)  
21 DR. GARBER: Intravitreal Macugen.  
22 One?  
23 (Hands raised.)  
24 DR. GARBER: Two.  
25 (Hands raised.)

00248

1 DR. GARBER: Three.  
2 (No response.)  
3 DR. GARBER: And oral vitamins,  
4 antioxidants and zinc. One?  
5 (Hands raised.)  
6 DR. GARBER: Two.  
7 (Hands raised.)  
8 DR. GARBER: Three.  
9 (No response.)  
10 DR. GARBER: B, how confident are you  
11 that there are therapies other than these that  
12 were discussed that provide a health benefit when  
13 compared to watchful waiting? And I think the  
14 intent here was, are there other things that you  
15 are confident are effective that we have not  
16 discussed today?  
17 DR. LUCE: That is approved?  
18 DR. FENDRICK: Anything on that list  
19 that was not discussed today.  
20 DR. GARBER: Not limited to approved.  
21 But here I'd suggest -- well, is there anything  
22 that you think would merit a one that was not on  
23 that list?  
24 DR. FENDRICK: How about the one, it  
25 starts with an R, we heard a lot about that.



00249

1 DR. GARBER: You'd put that as a one?  
2 DR. FENDRICK: No, I'm saying that  
3 would be on the list, that would fall in the  
4 category of others that were discussed today.  
5 DR. PUKLIN: So would Avastin.  
6 DR. ABECASSIS: Maybe I can make a  
7 suggestion that we have a list and then we vote  
8 one, two, three.  
9 DR. GARBER: Okay. Is everybody  
10 comfortable with that? So we'll have a list, and  
11 right now, Avastin is on that list. So the  
12 proposal is to vote one, two and three for these.  
13 Mark is shaking his head.  
14 DR. FENDRICK: If we keep it the way it  
15 is, it's an easy question. If there are others,  
16 we can say yes, and move on. I think that would  
17 be in the spirit of other MCACs.  
18 DR. GARBER: What would be most useful  
19 to you guys, Steve, would you rather us just say  
20 yes, there are other things and leave it at that?  
21 DR. PHURROUGH: It might help if you  
22 just identify what you're thinking of.  
23 DR. GARBER: Mark has the earliest  
24 flight.  
25 DR. FENDRICK: No, no. Now we have to

00250

1 make the list and vote on each one?

2 DR. GARBER: Yeah. Is there anything  
3 to add to Lucentis and Avastin?

4 DR. ABECASSIS: What about the previous  
5 list, that's a steroid.

6 MR. CLARKE: Was there any discussion  
7 about the steroid?

8 DR. ABECASSIS: Yeah, but we can vote  
9 one, two or three.

10 DR. GARBER: So what do you want to add  
11 to the list.

12 SPEAKER: Anecortave acetate.

13 DR. GARBER: All right, anecortave  
14 acetate, and do people want to include  
15 triamcinolone? That was discussed.

16 DR. ABECASSIS: You can number it.

17 DR. GARBER: So now I have four things  
18 on the list, Lucentis, Avastin, anecortave, and  
19 triamcinolone. Okay, one, two, three. Lucentis,  
20 how many ones?

21 (Hands raised.)

22 DR. GARBER: Two, for Lucentis.

23 (Hands raised.)

24 DR. GARBER: And three.

25 (No response.)

00251

1 DR. GARBER: Avastin, one?  
2 (Hands raised.)  
3 DR. GARBER: Two.  
4 (Hands raised.)  
5 DR. GARBER: Three.  
6 (Hands raised.)  
7 DR. GARBER: Anecortave, one?  
8 (No response.)  
9 DR. GARBER: Two.  
10 (Hands raised.)  
11 DR. GARBER: Three.  
12 (Hands raised.)  
13 DR. GARBER: Triamcinolone, one?  
14 (Hands raised.)  
15 DR. GARBER: Two.  
16 (Hands raised.)  
17 DR. GARBER: Three.  
18 (No response.)  
19 DR. GARBER: That's 2B, so moving to 3,  
20 based on evidence reviewed, how confident are you  
21 that the treatments such as photodynamic therapy  
22 with verteporfin, laser photocoagulation,  
23 intravitreal injection of pegaptanib, and oral  
24 vitamins, antioxidants and zinc will positively  
25 affect the outcomes listed in Question 1?

00252

1 I'll suggest we don't go outcome by  
2 outcome, but the intent to your answers of the  
3 previous question is clearly stated. Is that the  
4 intent of your question?  
5 DR. ABECASSIS: I'm not sure this is  
6 different from 2A.  
7 DR. GARBER: 2A was the evidence showed  
8 it works, but I would not be surprised if you  
9 answered the same way.  
10 DR. PHURROUGH: Does it have a positive  
11 effect on outcomes.  
12 DR. GARBER: Yes or no. Okay. The  
13 first one was did you think the evidence was  
14 sufficient to make a judgment, and this is just  
15 saying do you think it has a positive effect.  
16 DR. BURKE: It says how confident are  
17 you, so stick with the one, two, three thing.  
18 DR. GARBER: Okay, we will do one, two,  
19 three. First, verteporfin, one?  
20 (Unanimous response.)  
21 DR. GARBER: Two.  
22 (No response.)  
23 DR. GARBER: Three.  
24 (No response.)  
25 DR. GARBER: Okay, that's a one. Laser

00253

1 photocoagulation, one?  
2 (Unanimous response.)  
3 DR. GARBER: Two.  
4 (No response.)  
5 DR. GARBER: Three.  
6 (No response.)  
7 DR. GARBER: That's a one.  
8 Intravitreal Macugen, one?  
9 (Hands raised.)  
10 DR. GARBER: Two.  
11 (Hands raised.)  
12 DR. GARBER: Three.  
13 (No response.)  
14 DR. GARBER: And then the combination  
15 of vitamins, antioxidants and zinc. One?  
16 (Hands raised.)  
17 DR. GARBER: Two.  
18 (Hands raised.)  
19 DR. GARBER: And then three.  
20 (No response.)  
21 DR. GARBER: Okay. Number 4A, we have  
22 a request from CMS to delete 4A.  
23 4B. Based on evidence reviewed, how  
24 confident are you that the other treatment  
25 modalities, used singly or in combination, and

00254

1 those are the four that we just discussed, produce  
2 clinically significant net health benefits in the  
3 treatment of AMD? So we will do one, two, three.  
4 Starting with Lucentis, one?  
5 (Hands raised.)  
6 DR. GARBER: Two.  
7 (Hands raised.)  
8 DR. GARBER: Three.  
9 (No response.)  
10 DR. GARBER: Avastin, one?  
11 (No response.)  
12 DR. GARBER: Two.  
13 (Hands raised.)  
14 DR. GARBER: Three.  
15 (Hands raised.)  
16 DR. GARBER: Anecortave, one?  
17 (No response.)  
18 DR. GARBER: Two.  
19 (Hands raised.)  
20 DR. GARBER: Three.  
21 (Hands raised.)  
22 DR. GARBER: Triamcinolone, one?  
23 (No response.)  
24 DR. GARBER: Two.  
25 (Hands raised.)

00255

1 DR. GARBER: Three.  
2 (Hand raised.)  
3 DR. GARBER: Question 5, what are the  
4 knowledge gaps in current evidence pertaining to  
5 the usual care and outcome measurements of AMD?  
6 Bryan.  
7 DR. LUCE: Patient preference and  
8 utility.  
9 DR. FENDRICK: Linkages between  
10 surrogates and clinically meaningful outcomes.  
11 DR. WEINER: Guidelines relating to  
12 sequencing, the combinations, the real practice  
13 outside of trials.  
14 DR. GARBER: Should we say treatment  
15 algorithms?  
16 DR. WEINER: I think treatment  
17 algorithms would be fine.  
18 DR. ABECASSIS: Pathophysiology of  
19 disease.  
20 DR. PRICE: Diagnostics of progression.  
21 DR. GARBER: Any others?  
22 DR. GOODMAN: Patients subclinical  
23 responses to specific therapies.  
24 DR. BURKE: Indicators of treatment  
25 response.

00256

1 DR. ELLWEIN: Adverse side effects and  
2 economic data, direct and indirect costs.  
3 DR. LUCE: Cost effectiveness?  
4 DR. ELLWEIN: Yes, cost of treatment  
5 versus not treating.  
6 DR. WEINER: The California list and  
7 there are some good questions there, they were  
8 really very clinical, but can we just suggest  
9 Dr. Stout's list.  
10 DR. GARBER: We can suggest that.  
11 DR. WEINER: Why don't we suggest his  
12 list.  
13 DR. GOODMAN: Genomic cell biology and  
14 stem cells, vascular permeability, that said it.  
15 DR. GARBER: Any others.  
16 MR. CLARKE: Clinical quantification  
17 that can be digitized down and made very easy.  
18 DR. FENDRICK: Let me be clear; are you  
19 prioritizing the second therapy over the first and  
20 the order in which they're given?  
21 DR. GARBER: Yeah, that would fit in  
22 with the algorithm and combination of therapy  
23 question. Okay. That was a great suggestion.  
24 Number 6, what trial designs will  
25 support the development of such evidence to



00257

1 determine the appropriate treatment of AMD?

2 DR. BURKE: RCT trials, I think should  
3 really be the bedrock for this, you know.

4 DR. LUCE: Are we going to talk about  
5 registries, I don't think, or modeling studies?

6 DR. BURKE: Well, sufficient evidence,  
7 you know.

8 DR. GARBER: Maybe a specific example  
9 of what Bryan is concerned about is, well, the  
10 registry could be used for adverse events even  
11 outside the trial and would produce useful  
12 information. Another issue is comparative  
13 effectiveness, because I doubt very much that  
14 we're going to see many head-to-head trials of  
15 VEGF inhibitors, for example, but there may be  
16 some questions about that, and do you think there  
17 are types of study designs other than head-to-head  
18 trials that might address some of those questions?

19 DR. ABECASSIS: Could I suggest studies  
20 showing superiority versus nonsuperiority studies.

21 DR. BURKE: But how are you going to  
22 control in any non-head-to-head trials or  
23 non-head-to-head studies that you're proposing?

24 DR. GARBER: We're not going to resolve  
25 the question of what you do, but the question is,

00258

1 do you want to foreclose the possibility that  
2 there is any design other than a randomized trial?

3 DR. BURKE: I'm wide open. If you want  
4 to you do one, I'm wide open.

5 DR. GOODMAN: RCTs are necessary,  
6 though.

7 DR. ABECASSIS: Steve, maybe you can  
8 clarify the question.

9 DR. PHURROUGH: The question is what  
10 other ways, what are various ways that can be  
11 provided to us that will help inform those who are  
12 asking us in making our coverage decisions and for  
13 practitioners in treating patients.

14 DR. GOODMAN: RCTs are necessary, to be  
15 followed up as appropriate by comparative  
16 head-to-head trials, to be followed with  
17 registries, to be followed with perhaps  
18 meta-analysis of RCTs. But you can't get on the  
19 board without an RCT. Thereafter, as Bryan just  
20 whispered in my ear, claims analyses, registries  
21 and so on. You've got to get on board with an  
22 RCT. After that, head-to-heads might be good in  
23 certain cases, also randomized. Registries,  
24 claims analysis and meta-analyses of RCTs.

25 DR. WEINER: And I think with a lot of

00259

1 the networks that are in place, we want to foster  
2 the new technologies but not everybody can get  
3 everything, so I think we need to monitor and get  
4 back to the algorithms and figuring out when it is  
5 appropriate, and monitoring not only efficacy but  
6 also other outcomes. This is complicated stuff,  
7 this is a good one, because it will only increase  
8 in terms of the impact on Medicare society and the  
9 technologies will also be increasing.  
10 DR. GOODMAN: Technologies are swell,  
11 but you still can't get on board without an RCT.  
12 DR. GARBBER: There's going to be a  
13 question here, I think. I don't know how many  
14 RCTs there are for Avastin as a treatment of AMD,  
15 there are plenty of toxicologic indications, but  
16 if you say you can't get off the floor without a  
17 randomized trial, then Avastin will never get off  
18 the boards. Now that may be the conclusion you  
19 want to reach, but I want to make sure that people  
20 are comfortable with that, because we heard from  
21 ophthalmologists who are currently using Avastin  
22 to treat AMD and if you want to just say CMS  
23 shouldn't consider reimbursement for this without  
24 a randomized trial, we have to make sure that  
25 we're explicit. There are people who would

00260

1 advocate using it based on indirect evidence, and  
2 if you are going to say it has to be an RCT and  
3 foreclose that practice, that's fine, but we  
4 should be clear about what we're doing.  
5 DR. FENDRICK: There was something  
6 about strength of evidence between RCTs and  
7 indirect evidence, and obviously there are people  
8 on this panel with strong feelings about that.  
9 Steve, when I saw these questions, I thought this  
10 was probably the question that lurked in the past  
11 to essentially help prioritize not only the trial  
12 design, but also to start to get various opinions  
13 not only about things without an RCT, and it seems  
14 that what they're saying is the RCT is to be  
15 backed up with a non-RCT design, but you would not  
16 be very interested in some of these other designs  
17 without an RCT to put it on board.  
18 But the other thing in Question 6 is, I  
19 feel that we didn't prioritize these 11 things,  
20 and I'm wondering when I read this question about  
21 trial design, if it's not only the type of trial,  
22 but also to hear from the panel about what would  
23 be the minimum amount, and Neil stuck around for  
24 so long, and I think we could probably help by  
25 saying what we would find to be that minimum set

00261

1 of outcomes that panels would like to see.

2 DR. GARBER: Our vote on Question 1 was  
3 intended to answer that question.

4 DR. FENDRICK: But there still could  
5 have been -- I may be wrong. None of us would  
6 accept a trial without visual acuity being looked  
7 at, one without that, but I think some of us voted  
8 highly for other outcome measures, but I think  
9 some of us have different priorities, but I may be  
10 wrong.

11 DR. PHURROUGH: The question is a broad  
12 definition of designs and a broad definition of  
13 trials. We have a newer drug on the market, a  
14 newer procedure. Verteporfin has had its RCTs,  
15 should we stop collecting data on it? Is there  
16 not more data to collect that will help inform  
17 both us and the world about how it should be  
18 treated? Maybe we can answer that question.  
19 Macugen has finished its two RCTs, what's next for  
20 it, how should it be followed, what should be the  
21 next kind of evaluation?

22 DR. GOODMAN: How about biological  
23 plausibility, Steve?

24 DR. PHURROUGH: There are various  
25 levels of treatment that are already out there

00262

1 with various amounts of information we would  
2 continue to like to receive about those, and not  
3 just us, but treating physicians, and what are the  
4 different kinds of evidence collecting tools that  
5 we should use. And design not only includes RCTs  
6 versus comparative trials versus registries versus  
7 claims databases, but what are those things that  
8 we should be measuring when we are putting those  
9 products together, or when people are putting  
10 those trials together.

11 DR. ABECASSIS: I think if you restrict  
12 it to RCTs, you may be doing some drugs that are  
13 out there and that people are using a real  
14 disfavor, because there may not be sponsors for  
15 those RCTs and I think that, you know, we're  
16 talking about some drugs that are very promising  
17 that if that's required, they may not be allowed  
18 to show their efficacy even though the  
19 practitioners think they are efficacious.

20 DR. BURKE: I have to take a little bit  
21 of exception to that. I mean, we've sat on these  
22 MCACs where that rationale, you know, we don't do  
23 clinical trials because the clinicians say it  
24 works so we're not going to do a clinical trial,  
25 and that becomes a factor in determining whether

00263

1 in fact the drug works or not.

2 DR. ABECASSIS: I didn't say not to do  
3 a clinical trial, I was specifically talking about  
4 a randomized controlled trial.

5 DR. BURKE: Right, a randomized  
6 controlled trial, and they will actually say  
7 because our clinicians already know it works,  
8 we're not going to do that, it's unethical in some  
9 cases. We're asked to know whether the treatment  
10 that they're using is effective or not, and there  
11 is no real evidence to support it. So I think in  
12 this day and age we have to have actual evidence,  
13 and I think a randomized controlled trial is the  
14 evidence that we need.

15 DR. GOODMAN: I think we are all aware  
16 of certain limited instances where pulling off an  
17 RCT is impractical and some might say unethical.  
18 I suggest those are very unusual circumstances.  
19 But for answering the question so far as it  
20 affects AMD, which I don't think is a fatal  
21 disease, for which there are no alternative  
22 treatments and no one is willing to be randomized,  
23 I think for the purpose of this disease for the  
24 available treatments and other things in  
25 development, that the presumption should be RCT

00264

1 first. And if the sponsor has a real strong  
2 compelling reason why something other than an RCT  
3 would suffice, let that sponsor make their case.  
4 DR. ABECASSIS: I'm not an  
5 ophthalmologist, I have no conflicts. The way it  
6 looks to me is, there is a drug out there called  
7 Avastin which is probably as good as another drug  
8 called Lucentis, and I want to know who is going  
9 to sponsor a randomized clinical trial to check  
10 the efficacy of Avastin. If there is anybody in  
11 the room who wants to volunteer to sponsor that  
12 trial, I would like to hear it, because the way I  
13 read it, that drug will not be tested as  
14 rigorously as it probably should be tested.  
15 DR. KLEIN: What if there are no RCTs  
16 to show the efficacy and also what's been  
17 happening, there is no sponsor and someone else  
18 has to step in.  
19 DR. GOODMAN: Lack of a sponsor or  
20 facilities is not a cause for Medicare to decide  
21 to pay for something.  
22 MR. CLARKE: Specifically with regard  
23 to Avastin, if a company knows that, if they make  
24 a decision to sponsor it, they do; if they don't,  
25 they don't. The problem with not doing an RCT is



00265

1 you don't have pharmaco-vigilance.  
2 DR. ABECASSIS: There is a randomized  
3 trail for Lucentis and therefore, is the reason  
4 for them not to do that based on financial  
5 realities? I'm just putting that on the table.  
6 MR. CLARKE: We also are glossing over  
7 the power of drugs and an RCT with pharmaco-  
8 vigilance in a structured orderly ongoing way, and  
9 we can't minimize that. That is the burden that  
10 people must carry when people do a trial.  
11 DR. SEMBA: Genentech is developing  
12 Lucentis and also does manufacture Avastin for  
13 cancer therapy.  
14 DR. ABECASSIS: Are you saying that  
15 Genentech would be willing to put Avastin into a  
16 randomized clinical trial to test its efficacy  
17 against a much more expensive drug, Lucentis?  
18 DR. SEMBA: Genentech did not develop  
19 Avastin, it was not intended for individual use.  
20 It will have to be reformulated, the clinical  
21 trials will take another five to seven years, so  
22 the short answer is no.  
23 DR. GARBER: Alex.  
24 DR. KRIST: All I was going to say is I  
25 believe in the RCT theory, and I understand the

00266

1 dilemma that we have here as well, and maybe one  
2 solution is to list this in gaps of our knowledge,  
3 and I hate to step back a question, but this  
4 specific one might be a gap in our knowledge.  
5 DR. BURKE: This is what?  
6 DR. ABECASSIS: The difference between  
7 RCTs.  
8 DR. LUCE: Alan, I think the solution  
9 to our problem is the wording of the question  
10 itself. It says, what designs will support the  
11 development of sufficient evidence to determine.  
12 It's a very open ended question and we don't have  
13 to prioritize here, unless you think we need to.  
14 DR. GARBER: If we eliminated the word  
15 trial and before the question came up, I should  
16 have reviewed it, and should it say what, study  
17 design? Are people comfortable reformulating the  
18 question so it says what study instead of what  
19 trial?  
20 DR. LUCE: It doesn't matter.  
21 DR. GARBER: Okay.  
22 MR. BURKE: Well, I would suggest that  
23 randomized clinical trial would be an answer to  
24 this question.  
25 DR. PHURROUGH: For what?

00267

1 DR. BURKE: For any appropriate  
2 treatment of AMD, for any appropriate treatment, a  
3 randomized clinical trial will suffice.  
4 DR. PHURROUGH: RCT has demonstrated  
5 benefit of PDT, so there is no further evidence or  
6 any other trial design that would help inform  
7 physicians on how to use PDT?  
8 DR. GOODMAN: Yes, there are other  
9 study designs.  
10 DR. WEINER: RCTs are necessary, but we  
11 have to go beyond that, and the difference between  
12 the FDA and CMS is that for FDA, that might be  
13 enough, but not for CMS. Some things are beyond  
14 our control, and we're not allowed to talk about  
15 cost/benefit and value to society.  
16 DR. PHURROUGH: Nothing prevents you  
17 from talking about it.  
18 DR. WEINER: But in terms of capturing  
19 data on preferences and looking at costs and  
20 looking at population benefits, I think every  
21 country in the world does it and maybe one day  
22 we'll do it here, but CMS is within its regulatory  
23 purview in saying that we can't.  
24 DR. BURKE: The RCT can be used for  
25 utility analysis, it can be used for safety and

00268

1 efficacy, it can be used for anything you need to  
2 know. It could be your intermediate endpoint  
3 validity test, it can be a fertile ground for  
4 finding all these things.

5 DR. ABECASSIS: Who's going to pay for  
6 it?

7 DR. GARBER: Cliff, and then Bill was  
8 next.

9 DR. GOODMAN: I don't know that we want  
10 to suggest to CMS that something other than an RCT  
11 would be sufficient evidence to determine  
12 appropriate treatment. I think a suggestion that  
13 they do an RCT, that's the starting point. That  
14 doesn't mean that other things might support  
15 sufficient evidence, but not comprise it. So  
16 these other studies that have been described, not  
17 necessarily trials, but these other studies would  
18 certainly support an evidence base, but we have to  
19 start with RCTs, and then supportive studies are  
20 the ones that include the registries, the claims  
21 analyses, meta-analyses of RCTs and so forth.

22 DR. GARBER: Bill.

23 MR. CLARKE: I just second that. I  
24 mean, RCTs are necessary but not sufficient. They  
25 may concern a certain population base, but there

00269

1 are other effectiveness, cost effectiveness sort  
2 of determinations that are needed, so ongoing  
3 studies that have registries, maybe even coverage  
4 determination studies could be extraordinarily  
5 powerful here. As we get into study design, as we  
6 see in any technology, we'll see technology creep.  
7 So it is ultimately a disease that should be  
8 covered, and that's the power of these ongoing  
9 registry studies, we want to be able to say to CMS  
10 that it's a powerful thing to require us as  
11 providers and industry to do, to continue to  
12 acquire data like that. That allows an  
13 understanding of the use and utility of this in an  
14 extended population where RCTs will normally not  
15 do that.

16 DR. BURKE: We could require them to do  
17 Phase IV follow-up afterwards, after the RCT. I  
18 don't know about increased data, but with added  
19 criteria and the RCT, there is a cornucopia of  
20 things you could do.

21 DR. GARBBER: I don't think there's any  
22 disagreement that RCTs are important. The  
23 question is just, are there important supplemental  
24 studies like claims analysis. Patrick.

25 DR. PRICE: I think one of the problems

00270

1 that Steve is alluding to is that we have  
2 verteporfin's RCT, we now have Lucentis' RCT. Now  
3 together, though, when we're going over both in  
4 unison, does that require another RCT or is that  
5 what we need to derive from registries? These are  
6 important questions because it is not the single  
7 drug necessarily that's the endpoint, it's the  
8 combination of drugs, triamcinolone with OPT. And  
9 these are difficult questions that CMS is having  
10 to deal with, so where does, you know, if it has  
11 an RCT, that drug has an RCT, does that therefore  
12 mean that we have to use both of them  
13 simultaneously or outside of an RCT? That's  
14 number one.  
15 Number two is that this is more  
16 specific, but it would be helpful since we don't  
17 have very many or hardly any head-to-head  
18 analysis, that CMS would be encouraged, or could  
19 ask for this same method of reporting the data,  
20 whether it be quality of life, in other words, a  
21 template where you plug in the number and if you  
22 want secondary outcomes, that's fine, that's your  
23 decision. That would be helpful.  
24 DR. GOODMAN: We've discussed this in  
25 enough detail to answer this question. RCTs

00271

1 should be required, I think we all agree on that.  
2 I think we also agree that there are a set of  
3 other kinds of study designs that will supplement  
4 this information, and I think that is an answer to  
5 this question.

6 DR. BURKE: I agree.

7 DR. GARBER: Okay. So, I think the  
8 discussion has answered the question and we don't  
9 need to vote on the question; is that correct?

10 DR. PHURROUGH: Agreed.

11 DR. GARBER: Number 7. Based on the  
12 evidence presented, how likely is it that studies  
13 using valid measures of outcomes in treatment  
14 of AMD will result in conclusions that can be  
15 generalized to the Medicare population? This is  
16 basically effectiveness and internal validity.

17 DR. BURKE: No, it's entry criteria.

18 DR. GARBER: Well, actually that is  
19 presupposing the answer to that question. What  
20 it's trying to get at in the question, do the  
21 results apply to the typical beneficiary treated  
22 in a typical practice?

23 DR. BURKE: Patients over 65, right?

24 DR. GARBER: Right.

25 DR. BURKE: That's it.

00272

1 DR. GARBER: Any discussion?

2 DR. WEINSTEIN: A quick discussion.

3 That's why we need the other studies. In other  
4 words -- I'll wait until we vote. We're allowed  
5 to comment afterwards, right?

6 DR. ELLWEIN: There was that point made  
7 that the studies are done perhaps in clinics that  
8 are not representative of clinics in general, that  
9 is to say that the eye care providers in a study  
10 may be nonrepresentative of the eye care providers  
11 for the general Medicare population, so paying  
12 attention to the study sites is probably an issue,  
13 not to mention entry criteria, exclusion criteria,  
14 the patient population itself, so the study needs  
15 to be looked at across all the dimensions to  
16 ensure that it is truly not a special population,  
17 a special set of providers, with a  
18 nongeneralizable or non-doable, nonpractical  
19 protocol.

20 DR. GARBER: I think that's a really  
21 good point, and one of the aspects that we often  
22 worry about when we talk about generalizability is  
23 how the intervention was delivered, and although  
24 there may not be a lot of variation in how an  
25 intravitreal injection is given, we heard today



00273

1 about a lot of variation in how people decide to  
2 monitor response to treatment and how they decide  
3 to give another treatment, whether it's the same  
4 one given again or a different one, and that  
5 clearly could be at least potentially different at  
6 different sites, different between the places in  
7 published studies and the rest of the world where  
8 this is administered.

9 DR. LUCE: I just want to make the  
10 point that unlike a lot of products that come to  
11 the market, this product undoubtedly was tested in  
12 the elderly population because it is the elderly  
13 population that is at risk for this, so to a great  
14 extent what we see is clearly generalizable to the  
15 Medicare population. The real question is, is it  
16 generalizable to community practice patterns, so  
17 you may even want to change the nature of that  
18 question.

19 DR. WEINER: Again, we are dealing  
20 heavily with the elderly, but also as I understand  
21 the concept here is mainly retinal specialists,  
22 it's not going to be something that diffuse  
23 primary care doctors or even primary care  
24 ophthalmologists in most cases, so that part I'm  
25 not concerned about.

00274

1 But we all know that an RCT has all  
2 kinds of external validity problems and I'm  
3 worried about one thing in the controlled RCT by  
4 retinal specialists, it's another one that's out  
5 there, open, all paid for, no algorithms and so  
6 forth, and demanding boomers are on the march, so  
7 it's not like the trials we're seeing today.  
8 DR. GARBER: Any other business? Thank  
9 you, panel members, thank you, speakers and  
10 attendees. We need a motion for adjournment.  
11 DR. BURKE: So move.  
12 DR. ABECASSIS: Second.  
13 DR. GARBER: All in favor.  
14 (Whereupon, the meeting adjourned at  
15 3:02 p.m.)  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25