

00001

1
2
3
4
5
6
7
8
9
10
11
12 CENTERS FOR MEDICARE AND MEDICAID SERVICES
13 Medicare Evidence Development & Coverage
14 Advisory Committee
15
16
17
18
19
20

21 May 1, 2013
22

23 Centers for Medicare and Medicaid Services
24 7500 Security Boulevard
25 Baltimore, Maryland

00002

1 Panelists
2 Chairperson
Rita Redberg, MD, MSc
3
Vice-Chair
4 Art Sedrakyan, MD, PhD
5 Voting Members
David C. Beyer, MD, FACR
6 Mary A. Blegen, RN, PhD, FAAN
Steven Gutman, MD
7 David Howard, PhD
Pamela R. Massey, PT, MS
8 Jan Nowak, PhD, MD
James Rizzo, MD, MS
9 Amy E. Sanders, MD, MS
A. Oliver Sartor, MD
10 Eric C. Stecker, MD
Sandra L. Wong, MD, MS
11
CMS Liaison
12 James Rollins, MD, PhD
13 Industry Representative
Martin D. Marciniak, MPP, PhD
14
Guest Panel Members
15 Barbara Conley, MD

Dorothy L. Rosenthal, MD, FIAC

16

Invited Guest Speakers

17 Barbara Conley, MD

Sreelatha Meleth, PhD

18 Dorothy L. Rosenthal, MD, FIAC

Katrin Uhlig, MD, MS

19 Nedra Whitehead, PhD, MC, CGC

20 Executive Secretary

Maria Ellis

21

22

23

24

25

00003

1 TABLE OF CONTENTS

2 Page

3 Opening Remarks

Maria Ellis/James Rollins, MD, PhD/

4 Rita Redberg, MD 4

5 Introduction of Panel 9

6 CMS Presentation and Presentation of Voting
Questions

7 Chuck Shih, PhD 12

8 TA Presentation
Nedra Whitehead, PhD, MS, CGC 16

9
Presentation by Invited Guest Speaker and

10 Panel Member
Barbara Conley, MD 30

11
TA Presentation

12 Katrin Uhlig, MD, MS 43

13 Presentation by Invited Guest Speaker and
Panel Member

14 Dorothy L. Rosenthal, MD, FIAC 67

15 Scheduled Public Comments
E. Robert Wassman, MD, FAAP, FACMG 84

16 Margaret Havens Neal, MD, FCAP 90
Bernard H. Berins 94

17 F. Anthony Greco, MD 99

Lawrence M. Weiss, MD 104

18 Catherine Schnabel, MD 110

19 Open Public Comments
Daniel M. Jones, MD 116

20
Questions to Presenters 117

21
Panel Discussion, Final Remarks and Voting

22 Questions 169

23 Closing Remarks and Adjournment 260

24

25

00004

1 PANEL PROCEEDINGS

2 (The meeting was called to order at
3 8:12 a.m., Wednesday, May 1, 2013.)
4 MS. ELLIS: Good morning and welcome,
5 committee chairperson, vice chairperson,
6 members and guests. I am Maria Ellis, the
7 executive secretary for the Medicare Evidence
8 Development and Coverage Advisory Committee,
9 MedCAC. The committee is here today to discuss
10 selected genetic tests for cancer diagnosis for
11 cancers of unknown primary site, and for cervical
12 cytology findings of uncertain clinical
13 significance.
14 The following announcement addresses
15 conflict of interest issues associated with
16 this meeting and is made part of the record.
17 The conflict of interest statutes prohibit
18 special government employees from participating
19 in matters that could affect their or their
20 employer's financial interests. Each member
21 will be asked to disclose any financial
22 conflicts of interest during their
23 introduction.
24 We ask in the interest of fairness
25 that all persons making statements or

00005

1 presentations disclose if you or any member of
2 your immediate family owns stock or has another
3 form of financial interest in any company,
4 including an Internet or e-commerce organization,
5 that develops, manufactures, distributes and/or
6 markets, consulting, evidence reviews or
7 analyses, or other services related to genetic
8 tests for cancer diagnosis. This includes
9 direct financial investments, consulting fees,
10 and significant institutional support. If you
11 haven't already received a disclosure
12 statement, they are available on the table
13 outside of this room.
14 We ask that all presenters please
15 adhere to their time limits. We have numerous
16 presenters to hear from today and a very tight
17 agenda, and therefore cannot allow extra time.
18 There is a timer at the podium that you should
19 follow. The light will begin flashing when
20 there are two minutes remaining and then turn
21 red when your time is up. Please note that
22 there is a chair for the next speaker, and
23 please proceed to that chair when it is your
24 turn. We ask that all speakers addressing the
25 panel please speak directly into the mic and

00006

1 state your name.

2 For the record, voting members present
3 for today's meeting are Dr. Art Sedrakyan,
4 Dr. David Beyer, Dr. Mary Blegen, Dr. Steven
5 Gutman, Dr. David Howard, Pamela Massey,
6 Dr. Jan Nowak, Dr. James Rizzo, Dr. Amy
7 Sanders, Dr. A. Oliver Sartor, Dr. Eric
8 Stecker, and Dr. Sandra Wong. A quorum is
9 present and no one has been recused because of
10 conflicts of interest.
11 The entire panel, including nonvoting
12 members, will participate in the voting. The
13 voting results will be available on our website
14 following the meeting. I ask that all panel
15 members please speak directly into the mics,
16 and you may have to move the mike since we have
17 to share.
18 The meeting is being webcast via CMS
19 in addition to the transcriptionist. By your
20 attendance, you are giving consent to the use
21 and distribution of your name, likeness and
22 voice during this meeting. You are also giving
23 consent to the use and distribution of any
24 personal identifiable information that you or
25 others may disclose about you during today's

00007

1 meeting. Please do not disclose personal
2 health information.
3 In the spirit of the Federal Advisory
4 Committee Act and the Government in the
5 Sunshine Act, we ask that the advisory
6 committee members take care that their
7 conversations about the topic at hand take
8 place in the open forum of the meeting. We are
9 aware that members of the audience, including
10 the media, are anxious to speak with the panel
11 about these proceedings. However, CMS and the
12 committee will refrain from discussing the
13 details of this meeting with the media until
14 its conclusion. Also, the committee is
15 reminded to please refrain from discussing the
16 meeting topics during breaks and lunch.
17 If you require a taxicab, there are
18 numbers to local cab companies at the desk
19 outside of the auditorium. Please remember to
20 discard your trash in the trash cans located
21 outside of this room.
22 And lastly, all CMS guests attending
23 today's meeting are only permitted in the
24 following areas of CMS single site: The main
25 lobby, the auditorium, the lower level lobby

00008

1 and the cafeteria. Any person found in any
2 area other than those mentioned will be asked
3 to leave the conference and will not be allowed

4 back on CMS property again.
5 And now, I would like to turn the
6 meeting over to Dr. Rollins.
7 DR. ROLLINS: Good morning. My name
8 is Jim Rollins and I'm the director of the
9 Division of Items and Devices here in the
10 Coverage and Analysis Group.
11 The MedCAC serves three purposes for
12 CMS. First to get input from experts in the
13 field on the topic, and that information can
14 help us strategize our efforts related to
15 future activities on that topic. Number two,
16 to help disseminate information to the general
17 public. And number three, a more immediate use
18 of the MedCAC is it helps -- I'm sorry -- the
19 more immediate need of the MedCAC, along with
20 the external technology committee, is it helps
21 us to craft national coverage determinations.
22 I would like to thank the members of
23 the MedCAC, especially the chairperson as well
24 as the vice chairperson, along with
25 participants and presenters for today's

00009

1 discussion.
2 DR. REDBERG: I'm Dr. Rita Redberg,
3 I'm a cardiologist at UCSF and I am chairing
4 the committee today, and I'm looking forward
5 along with the rest of the committee to a very
6 informative and interesting discussion on the
7 issues of genetic tests for cancer diagnosis.
8 I have no conflicts of interest, and I think
9 we'll just go down the line and introduce
10 ourselves and state any conflicts.
11 DR. SEDRAKYAN: I'm Art Sedrakyan from
12 Weill Cornell Medical College in New York. I'm
13 an associate professor of public health and
14 cardiac surgery. I don't have any conflicts to
15 disclose.
16 DR. BEYER: I am David Beyer, I am a
17 practicing radiation oncologist from Phoenix,
18 Arizona at the Arizona Oncology Services. I'm
19 also a past health policy council chair for the
20 American Society of Radiation Oncology.
21 DR. BLEGEN: Hi. I'm Dr. Mary Blegen,
22 I'm a nursing researcher recently of UCSF, and
23 I have no conflicts to declare.
24 DR. GUTMAN: I'm Steve Gutman, I'm a
25 strategic advisor for a regulatory consulting

00010

1 firm called Myraqa on the west coast, and
2 Myraqa does provide consulting services for
3 BioDesex, Set Genomics and Foundation Med.
4 DR. HOWARD: My name is David Howard,
5 I'm a professor in the department of health

6 policy and management at Emory University, and
7 I have no conflicts of interest.

8 MS. MASSEY: My name is Pamela Massey,
9 I am a physical therapist retired from the
10 University of Texas MD Anderson Cancer Center,
11 and I have no conflicts of interest.

12 DR. NOWAK: I'm Jan Nowak, I'm a
13 pathologist for NorthShore University
14 HealthSystem in Illinois, and I have no
15 conflicts of interest.

16 DR. RIZZO: I'm Doug Rizzo. I'm a
17 professor of hematology, oncology and bone
18 marrow transplantation at the Medical College
19 of Wisconsin, and I have no conflicts.

20 DR. SANDERS: I'm Amy Sanders, I'm an
21 assistant professor of neurology at the Albert
22 Einstein College of Medicine in the Bronx, and
23 I have no conflicts.

24 DR. SARTOR: I'm Oliver Sartor,
25 professor of oncology and medical director of

00011

1 the Tulane Cancer Center.

2 DR. REDBERG: Would you state if you
3 have conflicts?

4 DR. SARTOR: Oh, I'm sorry. No
5 conflicts.

6 DR. STECKER: I'm Eric Stecker, a
7 cardiologist and electrophysiologist at Oregon
8 Health and Science University, and I have no
9 conflicts.

10 DR. WONG: I'm Sandra Wong, a surgical
11 oncologist at the University of Michigan. I
12 have no conflicts.

13 DR. MARCINIAK: I'm Martin Marciniak,
14 I'm the industry rep, I'm a vice president at
15 GlaxoSmithKline.

16 DR. CONLEY: I'm Barb Conley, I'm a
17 medical oncologist. I head the cancer
18 diagnosis program at the National Cancer
19 Institute, and I have no conflicts.

20 DR. ROSENTHAL: Dorothy Rosenthal, a
21 cytopathologist from Johns Hopkins Hospital. I
22 have no conflicts.

23 DR. REDBERG: Great, thanks very much,
24 and now I would like to turn the mic over to
25 Chuck Shih from CMS for a presentation and

00012

1 discussion of our voting questions today.

2 DR. SHIH: Good morning, everybody,
3 and welcome to everybody for joining us here
4 today at CMS. My name is Chuck Shih, I'm an
5 analyst in the Coverage and Analysis Group at
6 CMS, and welcome to today's MedCAC meeting on
7 selected genetic tests for cancer diagnosis.

8 Just a quick overview of terms before we go
9 through the questions.
10 FISH refers to fluorescence in-situ
11 hybridization. CUP or CUPS, cancer of unknown
12 primary site. ASCUS, atypical squamous cells
13 of unknown significance. And LSIL, low-grade
14 squamous intraepithelial lesion.
15 This slide is meant to just give a
16 sense of what the outcomes of interest are to
17 CMS. For FISH testing they include histologic
18 confirmation of higher-grade cervical
19 intraepithelial neoplasia on biopsy, overall
20 survival, mortality, evidence of harms of
21 anti-tumor treatment, quality of life, and
22 other potential outcomes that may be discussed
23 by the panel today. Similarly for CUP testing,
24 tumor recurrence, overall survival, mortality,
25 avoidance of harms of anti-tumor treatment,
00013

1 quality of life, and others.
2 Moving on to the questions, question
3 number one: How confident are you that
4 existing evidence is sufficient to confirm the
5 clinical validity (defined as how reliably the
6 test results are associated with the presence
7 of the disease or target condition) of each of
8 the following? A, DNA- or RNA-based testing
9 (CUP testing) to predict tissue of origin for
10 CUP. And B, FISH testing for cervical
11 cancer/pre-cancer in patients with ASCUS or
12 LSIL.
13 For scoring on question one, it will
14 be from one to five, one being low confidence,
15 five high confidence. If the answer to either
16 part of question one is at least in the
17 intermediate range, that's a group mean score
18 of 2.5 or more, move on to question two. If
19 not, we'll skip two and three and move to four.
20 Question two: How confident are you
21 that there is sufficient evidence to determine
22 whether genetic testing of tumor tissue affects
23 health outcomes, including benefits and harms,
24 for patients with cancer whose anti-cancer
25 treatment strategy is guided by the results of
00014

1 each of the following? A, DNA- or RNA-based
2 testing to predict tissue of origin for CUP.
3 B, FISH testing for cervical cancer or
4 pre-cancer in patients with ASCUS or LSIL.
5 Scoring for question two will be on a
6 one to five scale as well. Assuming an
7 intermediate score of 2.5 or greater, we'll
8 move on to question three.
9 Question three: How confident are you

10 that there is sufficient evidence to conclude
11 that genetic testing of tumor tissue improves
12 overall health outcomes, including benefits and
13 harms, for patients with cancer whose
14 anti-cancer treatment strategy is guided by the
15 results of each of the following? A, DNA- or
16 RNA-based testing to predict tissue of origin
17 for CUP. B, FISH testing for cervical cancer
18 or pre-cancer in patients with ASCUS or LSIL.
19 Scoring for question three will also
20 be on a one-to-five scale.

21 Question number four. Please discuss
22 whether the evidence as presented may be
23 generalized based on each of the following
24 factors: A, regulatory status of test, FDA
25 approval or cleared versus laboratory-developed

00015

1 testing. B, site of testing, e.g., university
2 medical center or commercial laboratories
3 versus community-based laboratories. C,
4 patient subgroups within the Medicare
5 beneficiary population, e.g., age.

6 Question five. Please identify and
7 discuss any evidence gaps in assessing outcomes
8 of interest to CMS for both, A, DNA- or
9 RNA-based testing for tissue of origin for CUP,
10 and B, FISH testing for cervical cancer or
11 pre-cancer in patients with ASCUS or LSIL.
12 Six, this is the final question.
13 Please comment on whether CMS should encourage
14 development of additional evidence relevant to
15 coverage determinations for, A, DNA- or
16 RNA-based testing to predict tissue of origin
17 for CUP, and B, FISH testing for cervical
18 cancer or pre-cancer in patients with ASCUS or
19 LSIL.

20 Thank you.

21 DR. REDBERG: Thank you, Dr. Shih, for
22 setting the stage, and that's very helpful in
23 terms of what we will particularly be paying
24 attention to in the presentations so that we
25 can inform the voting questions.

00016

1 I would like now to introduce
2 Dr. Sreelatha Meleth, a senior research
3 statistician in social statistical and
4 environmental sciences, as well as Dr. Nedra
5 Whitehead, a senior genetic epidemiologist,
6 also from RTI, to go through the TA
7 presentation, and you have 30 minutes together.

8 DR. WHITEHEAD: I'm Nedra Whitehead, I
9 will be doing the presentation, and Sreelatha
10 is here as well. I want to thank you for the
11 opportunity to discuss the technology

12 assessment of genetic and molecular tests to
13 identify the tissue of origin in cancers of
14 unknown primary site. The technology
15 assessment was funded by a contract from the
16 Agency for Health Care Research and Quality.
17 Dr. Meleth and I are responsible for the
18 content of the report.

19 A multiprong approach is used to
20 identify the tissue of origin for tumors of
21 unknown primary site, including microscopy,
22 staining, imaging, and most recently molecular
23 and genetic tests. Until recently, genetic
24 testing was used in cases primarily whenever
25 differential diagnosis included a cancer that

00017

1 was associated with specific chromosome
2 rearrangement, and site of genetic testing was
3 used to determine if the rearrangement was
4 present, to include or exclude the cancer
5 associated.

6 Recently developed tests focus on a
7 pattern of gene expression or microRNA
8 expression rather than specific chromosome or
9 gene rearrangements, and these tests examine
10 pattern level, the levels of the expression as
11 well as the presence or absence of multiple
12 messenger RNAs or microRNAs. Statistical
13 software analyzes the patterns to predict what
14 the tissue of origin is of the tumor.

15 We focused on these five key
16 questions. What tests were available, their
17 analytic and clinical validity, the validity of
18 the statistical algorithm for the test, and the
19 evidence that the tests had clinical utility
20 and that they were relevant to the Medicare
21 population.

22 The analytic framework we used for our
23 technology assessment. For the first four key
24 questions we used studies that had patients of
25 any age group that had cancer of unknown

00018

1 primary site. For the key question on Medicare
2 analysis we examined which studies had patients
3 that were 65 or older and in the core Medicare
4 population. We included both studies that used
5 genetic or molecular tests for the
6 identification of tumors, of tissue origin, as
7 well as studies that compared those methods,
8 the genetic or molecular test to other methods.

9 And for the studies of clinical utility, we
10 used descriptive studies that looked at
11 outcomes among patients that had a genetic or
12 molecular test, as well as compared directly to
13 patients that did not have a genetic or

14 molecular test.
15 We defined a test as commercially
16 available, because that was a criteria, that
17 the test had to be commercially available in
18 the United States, if we could identify a
19 mechanism for a physician to order a test or
20 for a laboratory to order a kit or the test
21 itself. And we looked for these tests using
22 primarily Internet searches through Google with
23 the limits that the page had to be in English
24 and it had to have been updated within the last
25 year.

00019

1 We used multiple databases to search
2 for studies. We included systematic reviews,
3 controlled trials, observation studies and case
4 series. The search was limited to English
5 studies that were published after 1990, and we
6 conducted strategies using MeSH headings and
7 text wording for each of the individual lab
8 tests that we identified.
9 We graded the studies and synthesized
10 the evidence qualitatively based on the methods
11 described in the Evidence-Based Practice
12 Methods Guide for Medical Test Reviews. We
13 conducted meta-analysis on the question for
14 clinical validity, which was the only question
15 for which there was sufficient data available
16 to do a meta-analysis.
17 Then we assessed strength of evidence
18 using the evidence-based practice center
19 domains of risk, which are risk of bias,
20 consistency, directness, precision of the
21 evidence, and we used the Simon criteria for
22 whether or not the algorithms were developed
23 using statistically valid methods.
24 And these criteria are how the tests
25 are normalized, the statistical classification

00020

1 that was used, whether it's a supervised or
2 unsupervised classification, with a preference
3 for supervised classification, and how much
4 risk of bias there is in the validation
5 methods.
6 We evaluated whether the body of
7 evidence was applicable to the Medicare
8 population by examining the study populations
9 that were reviewed in terms of age, race,
10 gender, and what the diagnosis was that were
11 included in the study population.
12 We retrieved 840 citations, we ended
13 up including 41 cases on CUP. We also
14 identified eight articles that looked at FISH
15 testing for Ewing's sarcoma. Those were

16 examined separately and I won't talk about
17 those any further today. Generally the
18 quality, in fact most of the studies were rated
19 as good, some as fair, and one as poor, and it
20 was excluded from further analysis.
21 We identified four genetic or
22 molecular tests to identify the tissue of
23 origin, the Pathworks tissue of origin test,
24 CancerTYPE ID test, miRview mets and then the
25 later version of the mets2 test, and

00021

1 chromosomal analysis.
2 The slide shows the analytes that are
3 actually measured, the panel size, how many
4 different analytes are measured for each test,
5 the laboratory methods used to identify
6 statistical and analytic methods. Also the
7 number of tumor types that are identified by
8 the tests, but there is some variability in how
9 the different manufacturers classify tumor type
10 versus tumor site. For the most part, this
11 represents tumor site only. And finally, the
12 reported score, the way the laboratory reports
13 out the results.

14 From this point on I'm going to focus
15 primarily on the three molecular tests for our
16 primary analysis. All three of the molecular
17 tests identified the ten most common primary
18 sites according to a recent review of autopsy
19 series. There was at least one study on the
20 analytic validity of each test, and this is the
21 ability of the test to measure the actual
22 topology that it is designed to measure. Some
23 of the studies of clinical validity and
24 clinical utility recorded assay quality
25 measures as well, and we considered those

00022

1 whenever we looked at the evidence on
2 analytical validity.
3 Most of the publications reported
4 different measures of analytic validity, so
5 even though you have multiple studies you often
6 only have one report of any given measure of
7 analytic validity. The exception with this was
8 there were two separate independent studies
9 that reported interlaboratory correlation for
10 the Pathworks TOO test, and in both cases the
11 interlaboratory correlation was about 90
12 percent for that test.
13 There was sufficient information in
14 the literature to determine whether or not the
15 algorithm met the Simon criteria for the
16 CancerTYPE ID and the miRview test, and all the
17 criteria were met. For the Pathworks TOO test,

18 there is sufficient information on the internal
19 and external validation measures that were used
20 to determine those criteria were met, but there
21 was not sufficient information reported to
22 assess the dimension reduction criteria. The
23 classification rule was clearly supervised but
24 there is not more detail available on that, and
25 so it was, we were unable to determine whether

00023

1 or not those criteria were met. Most of the
2 evidence that was available was available on
3 clinical validity.
4 There were multiple studies,
5 independent studies for each test. There was,
6 as I said, enough information here to do a
7 meta-analysis, and overall we found that the
8 degree of accuracy for the tests were very
9 close, from 85 to 88 percent, with very tight
10 precision levels. There were two studies that
11 addressed, directly examined and compared IHC
12 staining to one of the molecular tests. One
13 study used CancerTYPE ID and found that 78
14 percent of the predictions from CancerTYPE ID
15 were correct, compared with 68 percent from IHC
16 staining, and the other used Pathworks,
17 Pathworks TOO test. In that case they had
18 multiple pathologists examine the results of
19 the IHC staining, so even though they only had,
20 they had ten tumors that were looked at by ten
21 different pathologists. The Pathworks TOO test
22 called the right tumor type in 90 percent of
23 the cases, and the pathologist called the right
24 tumor type in 64 percent of the cases.
25 There is very little information

00024

1 available on clinical utility, which is how
2 well the test actually works in practice, and
3 how much effect it had. The quality of the
4 studies here was not as good as the quality of
5 the studies on clinical validity.
6 In looking at the ability of these
7 tests to actually make a diagnosis, there are
8 multiple studies that found that in most cases,
9 between 57 and 100 percent, there were -- they
10 were able to make a diagnosis in 57 to 100
11 percent of cases, sorry about that, in these
12 studies, and in the larger studies it was
13 usually over 90 percent.
14 There were a few studies that examined
15 whether or not the diagnosis of the tissue of
16 origin test matched another source of
17 diagnosis. In some cases that was cases where
18 the diagnosis, the tissue of origin was later
19 found, the primary site was later found, and

20 somebody just compared it to the clinical
21 pathologic characteristics of the tumor. They
22 found that they matched in 48 to 88 percent,
23 but as I said, there's a wide range of
24 confirmation standards there.

25 In five studies they looked at whether

00025

1 or not the test changed or resolved a
2 diagnosis, it did in 44 to 81 percent.

3 One study surveyed physicians who had
4 used the test and asked whether or not it
5 proved to be clinically useful, and they found
6 in about two-thirds of the cases, and there's
7 two numbers here because they reported on it
8 twice, and they reported slightly different
9 numbers each time.

10 There were very few studies that
11 reported on the usefulness of the test for
12 treatment decisions. Of those who did, four
13 studies looked at whether or not the test
14 changed treatment, and it did in 26 to 81
15 percent of cases.

16 None of these studies are well
17 controlled. They either have no control group
18 and they're strictly descriptive, or else
19 they're comparing historical cases or in some
20 cases self-selected people who weren't willing
21 to have their treatment assigned based on the
22 test.

23 One study found an increase in
24 site-specific treatment compared to empirical
25 treatment, and four studies looked at treatment

00026

1 response and found a wide range of 41 to 74
2 percent that responded to treatment based on
3 the TOO test. One study compared that to
4 empiric control and had 17 percent, but again,
5 there is no adjustment for differences in the
6 population as controls for that.

7 In looking at outcomes, there's even
8 slightly less information here, and they had
9 the same caveats as the ones on treatment
10 decision. There are no well-controlled
11 studies, they either have no control group and
12 they're only describing what happened in their
13 population, or else they're comparing to a
14 historical cohort.

15 Of the studies that have looked at
16 survival, compared to empiric treatment it's
17 about a three-month increase in survival. The
18 survival overall for all the patients who had a
19 TOO test ran from 13 to 21 months. One study
20 calculated as a projected survival the patients
21 who were still living and found, again, about

22 three to four months, and adjusted for quality
23 of life at about three months. One study
24 reported on how many of the patients had stable
25 disease, and it was like 33 percent.

00027

1 The tests are primarily done,
2 actually, in patients in the Medicare
3 population. Of 19 studies of clinical utility,
4 there were almost 2,400 patients who were over
5 64. The studies included both sexes. Very few
6 of the studies report on race, and so we were
7 unable to address that. And the tests, the
8 clinical validity studies used virtually every
9 type of cancer, and so there was a wide range
10 of diagnoses.

11 In summary, the ratings of the
12 strength of evidence were that the analytic
13 validity for CancerTYPE and miRview were
14 insufficient simply because we had only one
15 study of each one of the measures of analytic
16 validity. We considered the evidence of the
17 Pathworks TOO analytic validity to be high.
18 The clinical validity evidence was rated as
19 high for all three tests.

20 The rate of the evidence that the test
21 consistently predicts a tissue of origin in
22 test patients is moderate, and that that is a
23 confirmable diagnosis, the diagnosis is
24 actually true and confirmed by another method
25 is low. That the test is useful, there's a

00028

1 variety of ways of how that was addressed, but
2 that was also rated low, and there's
3 insufficient data to elicit treatment change or
4 treatment response. Low evidence on looking at
5 survival, or estimating the survival among
6 patients that have genetic tissue of origin
7 tests.

8 Some of the limitations of the body of
9 evidence that went into this technology
10 assessment is that it is difficult to determine
11 the true site in CUPS, which makes it difficult
12 to know how accurate the tests are in actual
13 clinical use, that's just a problem with the
14 actual question.

15 There is no well-controlled studies of
16 the effect on treatment decisions or on health
17 outcomes, and in almost all of these studies
18 the test manufacturers are listed as coauthors
19 and/or are funding the study.

20 Some of the strengths is there are
21 multiple well-designed studies that test for
22 the accuracy of tissue of origin tests by
23 testing tumors of known primary site. There

24 were several creative study designs designed to
25 look at the accuracy of these tests among, in
00029

1 prediction in true cases of CUP, and some of
2 the recent studies directly compared the
3 diagnostic success of molecular tissue of
4 origin test with the traditional IHC staining.
5 Some of the strengths and limitations
6 of our review is we used standard
7 evidence-based practice in our methodology, we
8 used a framework that has been used in other
9 genetic testing, which is the CDC phase
10 framework. We used a rigorous search which
11 captured published studies, conference
12 abstracts and early publication studies as an
13 attempt to get at whether or not there might be
14 some negative studies that weren't ending up
15 being published in the peer reviewed
16 literature. And we were able to use
17 meta-analysis to look at the identification of
18 tumors at known primary site.
19 Under the limitations of the findings
20 here is that the manufacturers are constantly
21 looking to update and revise and improve, or
22 change the test, and so over the course of time
23 the test changes a little bit and then you
24 never quite nail down exactly what test is
25 being done at the moment. And there's a

00030

1 rapidly evolving literature, I think half of
2 our studies we found in our second search.
3 In conclusion, the likelihood is
4 genetic tests of tissue of origin tests are
5 moderately accurate when tested on tumors of
6 known primary site, the accuracy of prediction
7 in CUP cases is still unclear. Additional and
8 more rigorous studies of clinical utility are
9 needed, and studies that are conducted and
10 funded independently of the test manufacturers
11 are needed.

12 DR. REDBERG: Thank you,
13 Dr. Whitehead, that was very helpful.
14 Next I would like to introduce Dr.
15 Barbara Conley, who is the associate director
16 of the cancer diagnosis program at the National
17 Cancer Institute. You have 20 minutes.

18 DR. CONLEY: Thank you very much, it's
19 a pleasure to be here. I head a unit at the
20 NCI that is concerned with the discovery and
21 development of tests that will improve the life
22 of cancer patients, so it is especially nice
23 for me to be able to address this topic.
24 Having said that, I will say the views are my
25 own and not necessarily those of the people

00031

1 that pay me, and I have no conflicts of
2 interest.
3 So, molecularly guided treatment, of
4 course, is something we all pin a lot of hopes
5 on if we're treating cancer patients. The idea
6 is you want to get it right the first time
7 because the treatments sometimes are toxic and
8 sometimes if we don't get it right and the
9 disease progresses, you don't get a second
10 chance. You also want to avoid unnecessary
11 toxicity, of course you want to improve the
12 survival while improving the quality of life,
13 and even if you cannot cure the disease, you
14 would like to convert cancer to a chronic
15 disease that doesn't kill the patient.
16 Now as we've heard, the cancer of
17 unknown primary site is, depending on how you
18 look at it, a reasonably common or uncommon
19 situation. It's three to five percent of adult
20 malignancies. It usually is metastatic on
21 presentation, and usually when cancer is
22 metastatic on presentation we don't think of it
23 as having a cure. The median survival,
24 therefore, is anywhere from two to 12 months,
25 and it's very difficult to predict if we don't

00032

1 know anything about what the cancer will do,
2 which we usually learn from studying cancers at
3 particular sites, so we don't really have any
4 correlation, any reliable correlation of either
5 traditional pathologic histologic features or
6 genetic characteristics with response to
7 treatment or with survival.
8 60 percent of the cancers of unknown
9 primary sites can be classified as
10 adenocarcinoma, 30 to 35 percent totally
11 differentiated adenocarcinoma or completely
12 undifferentiated adenocarcinoma, five percent
13 are squamous cancers, and two percent are a
14 class called neuroendocrine. So one of the
15 open questions that we wrestle with is given
16 that cancers of unknown primary site present
17 with metastatic disease, should we expect the
18 biology and the prognosis to be different
19 compared to carcinomas where the primary is
20 evidence, or is this a fundamentally different
21 kind of tumor or is it something we expect to
22 behave like your regular breast cancer or colon
23 cancer that just happened to metastasize? So
24 if we did know the tissue of origin, would we
25 expect CUP to do the same, better or worse if

00033

1 we treated it like the tissue of origin?

2 So, people have been studying this for
3 some time and they've really done a great
4 service to people who are treating these
5 cancers in daily practice, and 20 percent of
6 these tumors seem to have a favorable prognosis
7 and these people survive a bit longer, and if
8 you just kind of look down the list here, they
9 may be tumors where you might assign treatments
10 that are generally thought to be fairly
11 effective, although maybe not curable.
12 The rest of them, 80 percent are
13 unfavorable and we don't know what to do with
14 them, but of course metastatic disease of the
15 liver is bad no matter whether you know the
16 primary or not. Brain mets are not usually
17 good. Multiple lung or pleural metastatic
18 disease is bad. Lytic bone disease where the
19 bones are more likely to break is bad, and
20 squamous cancers in the abdominopelvic area
21 where they tend to infiltrate vital structures
22 and cause a lot of pain are quite bad, so that
23 doesn't give us a good framework for help.
24 So then we have to define clinical
25 utility, okay, what is clinically useful? The
00034

1 gold standard, I submit, would be that you have
2 better outcomes than what's currently
3 available, that would be clinically useful for
4 a test. That would mean that survival is
5 improved. Okay. If we're going to say that
6 survival is improved, by how much does it have
7 to be improved for us to say a test is
8 clinically useful?
9 Or the toxicity is lessened. We don't
10 give them a bad regimen that's the cause of a
11 lot of toxicity because they're thought to have
12 a different kind of tumor, but by how much is
13 this clinically useful? The current situation,
14 you have a patient who presents with metastatic
15 disease, they don't, there's no obvious primary
16 by the usual clinical workup that you do. They
17 get a biopsy, they get looked at in pathology
18 with IHC and other tests. Meanwhile the
19 clinician is looking at them and seeing how
20 well do they perform their activities that they
21 normally do, how old are they, what other
22 diseases do they have, and what are their
23 personal preferences for treatment of this most
24 likely incurable condition. We look at how
25 many sites there are, where the metastatic
00035

1 sites are, and any guidelines that might be
2 available such as from the NCCN or ASCO, and
3 make a treatment decision, okay, which you want

4 to be the best decision up front, okay?
5 So what we want to know is will using
6 a molecular tissue of origin test result in a
7 better outcome for patients. So we assume, in
8 order to use this, that if a primary site can
9 be suggested, then beneficial treatment can be
10 given, okay? But we just heard that no studies
11 show definite improved outcomes even with
12 current procedures or with molecular tests.
13 Currently diagnosis is not actually
14 done in the most optimal way, because even the
15 laboratory developed tests or the IHCs are not
16 standardized across sites, so which one, or
17 even how they're done is not standard across
18 sites. And then of course, we've heard that in
19 80 percent of patients the current treatment,
20 even if we know the primary, we're not getting
21 the benefit we wish we had. And the second
22 assumption is that molecular profiling can give
23 guidance when other studies are not optimally
24 informative.

25 So, does the molecular test guide the

00036

1 treatment better than the current IHC tests,
2 does it add benefit to the current diagnostic
3 regimen, all of these things that we normally
4 do, or does it allow CUP patients to be
5 eligible for clinical trials in patients with
6 known primaries where everybody's treatment,
7 hopefully, can be improved.

8 So, in our division they're working on
9 how do you validate, how do you look at
10 clinical utilities of molecular markers no
11 matter what we use them for. So basically we
12 do want to define the setting and the desired
13 utility of whatever marker or assay you're
14 looking at. The magnitude of the outcome or
15 treatment effects for a positive assay must be
16 sufficiently different from those of a negative
17 assay so that a clinician and patient would
18 accept a different treatment for those two
19 groups.

20 If you have two groups, a marker
21 positive group and a marker negative group, but
22 both are going to benefit from the same
23 treatment, you're not really going to change
24 your mind, you're going to still give the same
25 treatment to both, and of course the estimates

00037

1 of that magnitude must be reliable.
2 A randomized clinical trial is what we
3 have all thought for many years is the best
4 kind of evidence, and this should be maybe
5 prospective, but how do we stratify carcinoma

6 of unknown primary site in such a trial since
7 we can be, since there are a number of cancers
8 this could possibly be as the primary, and
9 there are good and poor prognosis groups there.
10 And then, since there's only three to five
11 patients in the whole group of cancers of
12 unknown primary, and we may have to subset it
13 to good prognosis/poor prognosis, will standard
14 of care change during that time that we're
15 trying to do the trial?
16 Then there's something called a
17 prospective-retrospective study. Studies have
18 already been done and there are enough patients
19 with tissue that we can take the data, the
20 outcome data and the molecular data, and use
21 that as a sort of clinical trial without
22 enrolling patients, but do enough trials exist,
23 since I'm not sure that very many trials would
24 have taken carcinomas of unknown primary
25 patients.

00038

1 Thirdly, can we do a registry, and how
2 do we do that so we understand whether the
3 outcomes would be better using the test or not.
4 So a little bit on retrospective
5 analysis design. These generally are used as
6 hypothesis generating studies and are generally
7 used as convenience samples, meaning these are
8 not patients who have the same eligibility
9 criteria, these are patients that happen to
10 exist in a tumor bank somewhere in our hospital
11 laboratory, so the prospective designs that one
12 can use are various kind of biomarker
13 stratified designs, positive and negative
14 biomarker stratified designs, adaptive analysis
15 designs, did he do better with the biomarker
16 than without the biomarker, so-called biomarker
17 strategy designs, sequential designs using one
18 or more of these, and then hybrids.
19 So in order to go this route, there
20 has to be an indication of treatment, first of
21 all, and it's best to use the most efficacious
22 treatment first, and the patient of course has
23 to be fit for treatment, although with some of
24 the targeted treatments even patients who are
25 immuno, you could see a very poor performance

00039

1 that could benefit.
2 Carcinoma of unknown primary is
3 uncommon, it's heterogeneous, the randomization
4 would be difficult because of the
5 heterogeneity, the patient characteristics
6 themselves are heterogeneous, and one has to
7 define the magnitude of benefit that will

8 justify using a new test in this group.
9 So if you're going to design a
10 prospective trial, there are certain advantages
11 to that, you're starting from scratch, so you
12 would probably need the fewest patients for
13 that kind of trial, and by designing it ahead
14 of time, you guarantee you will have sufficient
15 power to show a treatment effect.
16 Disadvantages, though, you have to know what
17 the marker is, and you have to turn around to
18 make it essential.
19 In retrospective-prospective designs,
20 you can maximize the pool if you have enough
21 studies, you don't have to know the marker, you
22 can test various cut points and markers, you
23 can refine the assay while the trial is
24 ongoing, and you can look at marker positive
25 and marker negative groups. However, since you

00040

1 didn't prospectively design the trial, your
2 power to detect the difference might be
3 compromised. Not everybody who was treated may
4 have a sample, and results therefore may not be
5 generalizable.

6 So when I speak of biomarker
7 stratified design, this is kind of what I mean,
8 and hopefully you can see the black writing.
9 You assess the biomarker in everybody and then
10 you randomize, you separate into groups, you
11 randomize biomarker positive and biomarker
12 negative to either the new treatment or the
13 standard treatment. In this situation, what do
14 we do with tissue of origin or CUP, do we lump
15 all of them, do we say okay, we're going to do
16 the good risk group, the poor risk group, those
17 that look like colon cancer, those that look
18 like something else, how are we going to do it,
19 it will take some consideration. So -- but the
20 design does allow the assessment of a new
21 therapy in positive and negative patients, but
22 it might not be practical if you have more than
23 two evaluated therapies.

24 So enrichment design I will talk about
25 next, I'm going to actually talk a little bit

00041

1 about that enrichment designs are very
2 attractive because they only take the patients
3 who one thinks are going to benefit from the
4 treatment, and they could be beneficial when
5 the stratified design is not preferred because
6 you wouldn't want to give that treatment anyway
7 and you measure the biomarker on everybody, but
8 the randomization is restricted to the
9 biomarker positive group. You need to have an

10 accurate test, of course, and you can't answer,
11 then, whether the treatment is better in the
12 biomarker negative group, and you can't answer
13 if the biomarker is prognostic rather than
14 predictive. So when I say that, it's
15 prognostic when all patients do better if they
16 had that particular characteristic no matter
17 what the treatment was, or is it particular to
18 a certain treatment, only those patients who
19 get that certain treatment will do better.
20 So this is an example of an enrichment
21 design that may be applied to a tissue of
22 origin type test to assess the biomarker, and
23 if they don't have, if it doesn't predict the
24 tissue of origin, it's off the study. If the
25 patient has the tissue of origin predicted,

00042

1 they would be randomized to treatment A per
2 guidelines for the tissue of origin that was
3 predicted, or for guidelines -- sorry -- or
4 treatment B for prediction of tissue origin.
5 That's just one example that could be done to
6 generate the evidence, but you would not know
7 the effect of what the treatment was if the
8 tissue of origin was not predicted, because
9 they would be off the study. And the question,
10 again, is you need one trial for each tissue of
11 origin.
12 Now in this era of predictive and
13 precision medicine, is it better to find the
14 tissue of origin and treat according to
15 guidelines for that particular tumor that we
16 have now, or should we concentrate on
17 predictive tests for all tumors known or
18 unknown, in other words, find the treatment
19 that that patient is likely to respond to? Can
20 we do that today, probably not, but it is a
21 philosophical question.
22 So, the conclusions that I came to is
23 the evidence for the clinical utility may be
24 very difficult to obtain with a randomized
25 controlled trial or with a

00043

1 prospective-retrospective study. However, it
2 doesn't hurt to try, and there may be some
3 instances where we do want to try some of
4 these. A registry might provide some
5 advantages, you could have concurrent controls
6 in an experimental group in a registry, you
7 could get wider participation, more different
8 kinds of patients, patients that are found in
9 the community. However, they would still have
10 to probably be good performance status patients
11 to really be able to discern the benefit.

12 I thank you for your attention.
13 DR. REDBERG: Thank you, Dr. Conley.
14 That was really helpful in laying out the big
15 picture of the questions and challenges in the
16 field.
17 Next I would like to introduce
18 Dr. Katrin Uhlig, who is attending physician in
19 the Department of Nephrology at Tufts
20 University, who's going to talk to us about the
21 technology assessment commissioned by AHRQ.
22 DR. UHLIG: Good morning, thank you
23 for inviting me. My name is Katrin Uhlig, and
24 I will speak to you about the technology
25 assessment on fluorescence in situ

00044

1 hybridization or other in situ hybridization
2 testing of uterine cervical cells to predict
3 pre-cancer and cancer. This technology
4 assessment was prepared by the Tufts
5 evidence-based practice center under contract
6 with the Agency for Health Research and
7 Quality, and the opinions presented in this
8 presentation are those of the review team.
9 You can see here the list of
10 contributors, and I would like to point out
11 that amongst our review team we had a
12 cytogeneticist as well as a gynecological
13 oncologist, and we were in close contact and
14 deliberation with our task order officers from
15 AHRQ as well as the liaisons from CMS.
16 Cervical cancer has decreased in
17 incidence secondary to widely adopted
18 screening. Screening detects precancerous
19 lesions and cancers in early stages when they
20 can be effectively treated. Almost all
21 cervical cancers are caused by infection with
22 high-risk human papillomavirus genotypes. In
23 particular, HPV genotype 16 and 18 alone are
24 responsible for about 70 percent of cervical
25 cancers.

00045

1 So, where does genetic testing come
2 in? Cervical cancer has genetic changes that
3 occur early in the process before being
4 apparent under the microscope
5 and this is why genetic
6 tests are being developed to enhance early
7 detection and triage of women with abnormal
8 screening tests.
9 Last year we saw new guidelines for
10 screening of cervical cancer. The 2012 United
11 States Preventive Services Task Force updated
12 its screening recommendations for women, and
13 recommended in those age 21 to 65 a

14 Papanicolaou, a Pap test every three years; it
15 had been recommended yearly. A consortium by
16 professional societies issued recommendations
17 that were similar, again recommending in women
18 21 to 65 years age a Pap test every three
19 years. However, in women age 30 to 65 years,
20 they actually recommended as the preferred
21 strategy co-testing with Pap and HPV screening,
22 and that would allow to extend the screening
23 intervals to every five years. And let me just
24 point out that testing that is recommended here
25 is a screening test only for genotypes, and

00046

1 this is different from FISH testing for HPV.
2 Now, once a screening test turns out
3 to be abnormal, then a woman is being referred
4 to a colposcopy to obtain a tissue biopsy, and
5 at the same time or at a later stage may be
6 treated with ablative treatment, which means
7 excision of the abnormal portion of the cervix.
8 So the goals of screening are to detect most of
9 the high-grade lesions that are subsequently
10 found on histology, to sort of enhance the
11 prediction to be able to pick up those that are
12 bad on histology, while minimizing the referral
13 of women who will turn out on subsequent
14 histology not to have high-grade histologic
15 lesions, and then would undergo these
16 procedures unnecessarily.
17 Adverse events of colposcopy, biopsy
18 or treatment are pain and bleeding, and
19 possibly with subsequent pregnancy, cervical
20 incompetence with fetal loss and prematurity,
21 as well as the costs associated with the
22 procedure.

23 Cytology obtained from scraping the
24 cervix is staged according to the Bethesda
25 system for interpretation of epithelial cell

00047

1 abnormalities. A normal finding is one of
2 NSIL, which stands for negative for squamous
3 intraepithelial lesions.
4 The next level of abnormality would be
5 a report of atypical squamous cells, which
6 could be one of ASCUS, atypical squamous cells
7 of undetermined significance, and a later
8 revision of the Bethesda system that also added
9 the category of ASC-H, which stands for
10 atypical squamous cells cannot exclude HSIL,
11 and as you see on the bottom, HSIL is a higher
12 grade of abnormality.
13 The next highest grade is LSIL, which
14 stands for low-grade squamous intraepithelial
15 lesions, and these are reports of cytopathic

16 changes from human papillomavirus, and then the
17 next highest level of known noninvasive
18 precancerous lesions is that of HSIL,
19 high-grade squamous intraepithelial lesions,
20 and these encompass moderate and severe
21 dysplasia, carcinoma in situ, or cervical
22 intraepithelial neoplasia, CIN2 and 3.
23 A cytology diagnosis can also be one
24 that is reported as showing features suspicious
25 for invasion, or actually of squamous cell

00048

1 carcinoma.
2 So again, when normal cytology
3 findings are seen, then the woman is referred,
4 for a normal finding the woman returns back to
5 be re-seen for the guideline recommendations.
6 This, a finding of normal cytology is seen, but
7 the co-testing algorithm is followed.
8 And when there is a positive HPV
9 screening test, then the current
10 recommendations are to rescreen her earlier,
11 not in three but in one year, or to go for
12 specific HPV genotype testing.
13 If the cytology is that of ASCUS with
14 a negative HPV test, that's reassuring, and the
15 woman is rescreened according to the routine
16 guideline screening recommendations. However,
17 if there's a finding of ASCUS with a positive
18 screening test for HPV, that woman will be
19 referred for colposcopy, as well as a woman who
20 has a finding of LSIL on cytology would be
21 referred for colposcopy. And again, if the
22 woman has high-grade squamous intraepithelial
23 lesions, she would be referred to colposcopy.
24 The algorithms that are bolded here
25 are those that represent clinical dilemma,

00049

1 because here we are either concerned about
2 missing abnormal lesions on histology, as in
3 NSIL with positive HPV, return to testing in
4 one year, or in the second bolded, in the two
5 last bolded options, the concern is one of
6 referring to early and unnecessary procedures,
7 because even though ASCUS and LSIL are
8 worrisome, only a portion of the women who go
9 on to have colposcopy will actually have
10 abnormal histology that warrants treatment.
11 Here you can see how the histological
12 changes that are detected on biopsy are graded.
13 They're categorized as cervical intraepithelial
14 neoplasia according to the depth of involvement
15 and the atypicality of the cells into three
16 degrees of severity.
17 CIN1 is considered a low-grade lesion;

18 it refers to mildly atypical cellular changes
19 in the lower third of the epithelium. It may
20 represent HPV and cytopathic events. Now CIN2
21 is considered a high-grade lesion, it refers to
22 moderate atypical cellular changes that are
23 confined to the basal two-thirds of the
24 epithelium, with preservation of epithelial
25 maturation. CIN3 is also high-grade lesion,

00050

1 but it refers to severely atypical cellular
2 changes encompassing greater than two-thirds of
3 the epithelial thickness, as well as full
4 thickness lesions on histology.
5 Let me talk a little bit about the
6 benefit, what the purpose is for predicting,
7 and why CIN3+ is used as a surrogate outcome
8 rather than apparent or invasive cancer. This
9 is because few studies have sufficient numbers
10 of cancer cases to assess cancer risks
11 directly. The absolute risk of CIN3 including
12 the rare cases of cancer, as combined as CIN3+,
13 is considered to be the best measure of risk of
14 incidence of cervical cancer. In many studies
15 this is combined with CIN2, and as CIN2 because
16 there are not enough numbers for even CIN3
17 invasive cancers.
18 Let me shift gears and talk to you
19 about the test of interest for our technology
20 assessment. It's in situ hybridization and I'm
21 using FISH and ISH interchangeably unless
22 specified. In situ hybridization is a
23 technique that is used to detect and localize
24 the presence or absence of a specific genetic
25 sequence in cells using a complementary

00051

1 polynucleotide sequence, which is called the
2 probe, and the probe is directly tagged with a
3 fluorescent compound or it is indirectly
4 visualized with antibodies that are then linked
5 with the chemical tag and visualized under
6 direct light. So you can have two methods of
7 detection of this probe, either with UV light
8 as in FISH, or with another method in chromatic
9 in situ hybridization such as ISH.
10 Here you can see two pictures of a
11 cell nucleus that is being subjected to FISH
12 testing. As you can see here are two red dots,
13 two green dots and two yellow dots, and here
14 you can have an abnormal FISH test with three
15 red dots, two green and two yellow. And as you
16 can see here, the third red dot indicates an
17 additional lesion, an additional region, while
18 if there is only one dot, because you have two
19 chromosomes, would indicate a deletion of a

20 genetic area.
21 Most commonly used ISH tests for
22 cervical cancer detect a gain of 3q26, which
23 encodes telomerase RNA components abbreviated
24 as TERC, which is activated early in the
25 progression to cervical cancer, or a gain of

00052

1 8q24, which encodes myelocytomatosis oncogene
2 abbreviated as MYC, which is a common site of
3 HPV DNA integration, specifically for HPV 18.
4 FISH probes can also detect the DNA for
5 high-risk HPV genotypes, including HPV 16 and
6 HPV 18.
7 Currently FISH tests are being
8 marketed and directly advertised by commercial
9 laboratories for women with abnormal screening
10 tests. This is our analytic framework which
11 follows the ACCE model, and you can see here
12 our first question was in women with, who are
13 eligible to undergo screening for cervical
14 cancer, what ISH tests are there currently
15 available commonly examined in research studies
16 that have been, have looked at their ability to
17 detect abnormalities in cervical cells, which
18 was our key question one.
19 Key question two dealt with the
20 analytic validity of these most commonly used
21 ISH tests with regards to how chromosomal
22 abnormalities or high-risk HPV genotypes
23 correlate with the ISH test results.
24 Key question three related to the
25 clinical validity, how ISH tests correlate or

00053

1 are associated with subsequent histological
2 findings for pre-cancer or cancer.
3 And key question four related to the
4 clinical utility in how ISH tests impact the
5 clinical outcomes through diagnostic thinking,
6 evaluation and management, and how they might
7 impact the harms.
8 We conducted searches in medical
9 databases, MEDLINE, Scopus including EMBASE.
10 Our last search date was in July of 2012
11 without language restrictions, using key words
12 for our tests of interest, in situ
13 hybridization, and for the disease, cervical
14 cancer, pre-cancer, neoplasia and CIN. And we
15 accepted all studies that had at least ten
16 women with cervical tissue.
17 Our horizon scan showed that the most
18 commonly used approach are those related to
19 TERC, as well as MYC, HPV 16 and 18, and
20 therefore we focused our review on TERC, MYC,
21 HPV 16 and 18.

22 For analytic validity we asked what is
23 the association between ISH tests and reference
24 tests, and expressed the agreement between
25 tests as percentage with concordant results,

00054

1 and we found no studies looking at analytic
2 validity for TERC or MYC, and 14 studies
3 looking at agreement for FISH tests for HPV
4 with reference tests for HPV either by
5 polymerase chain reaction or Hybrid Capture 2.
6 Agreement ranged from 35 to 100 percent and
7 here you can see the percent agreement between
8 ISH tests for HPV and reference tests in 14
9 studies.

10 I'm sorry, this is blinking. Is my
11 time up already?

12 DR. REDBERG: No.

13 DR. UHLIG: How much time do I have?

14 DR. REDBERG: You have 20 more
15 minutes.

16 DR. UHLIG: Okay.

17 So, you can see a lot of variability
18 in the agreement between ISH tests and HPV
19 reference tests, which we attributed to a lot
20 of variability in the actual probes. Again,
21 most of these probes, FISH test probes included
22 probes for HPV 16 and 18, but also other probes
23 for other high-risk HPV genotypes, and you can
24 see there is clinical heterogeneity in terms of
25 the reference tests here, some of them being

00055

1 Hybrid Capture or various types of polymerase
2 chain reaction tests. We did not pool these
3 data because of their clinical heterogeneity.

4 In terms of assessing the quality of
5 reporting in the studies that we examined for
6 analytic validity, we found deficiencies in
7 reporting which we thought were most likely
8 because the studies were not designed to
9 specifically address analytic validity. The
10 studies did not expressly describe laboratory
11 procedures in detail because ISH tests and
12 reference tests, most often the PCR assays, are
13 well established in general, if not in
14 particular for cervical specimens. Many of the
15 reference tests were commercially available
16 kits that probably included positive and
17 negative controls, even though the studies
18 didn't specifically state that.

19 This is really the core of our report,
20 that focused on the clinical validity, and here
21 the question, again, was what is the
22 association between FISH test results on
23 cytology and cervical intraepithelial neoplasia

24 high grade, or cervical cancer on histology?
25 And again, based on the clinical dilemma, we

00056

1 were interested in stratified results by
2 cytology findings for NSIL, ASCUS or LSIL with
3 or without HPV, and our reference test was
4 histological finding of CIN2+ or CIN3+.
5 We accepted data on sensitivity,
6 specificity, and conducted meta-analysis, five
7 studies were available for any test outcomes
8 here, and graded the quality of the studies
9 according to the QUADAS 2 instrument. We found
10 ten studies, all of them specifically used
11 FISH, eight studies examined FISH testing for
12 TERC, and you can see there that actually there
13 was a fairly large number of individuals that
14 were included in these studies.
15 In particular, there was one study
16 from China with 7,700 individuals. However,
17 only, because we were interested in stratified
18 analysis, we could really only include about a
19 total of 600 with LSIL and 660 with ASCUS from
20 this particular study, because we were
21 interested in the results stratified by the
22 cytological findings.
23 Out of the TERC studies, five, the
24 majority also used probes for, most of them
25 used probes just for TERC, but three also

00057

1 combined probes for TERC and MYC. Three
2 studies used FISH test for HPV, and
3 unfortunately, not all studies gave us
4 information on the HPV status according to the
5 screening test for the patients.
6 We found that in general, CIN3 results
7 were consistent with CIN2 results, and I will
8 show you, I will focus more on this in 2+
9 results. Here you can see how the evidence
10 maps out across the different FISH tests and
11 the different cytological stages. There was,
12 the largest pocket of evidence was on TERC
13 tests in women with LSIL for outcomes of CIN2+
14 or 3+, seven studies for CIN2+ with about a
15 thousand patients in five studies for CIN3+,
16 900 patients, and for everything else there was
17 really at most three studies, some of them
18 significant in size.
19 Here, this includes the Chinese study
20 with about 600 patients, and for HPV FISH
21 tests, fairly small number of studies and
22 overall small sample sizes. Small sample size
23 is important because it reduces the precision
24 of the estimates.
25 I'll walk you now through each of

00058

1 these rows. For FISH for TERC in women with
2 LSIL, seven studies looked at CIN2+ with a
3 thousand patients, and here is the first plot
4 for those seven studies showing you sensitivity
5 and specificity point estimates from the
6 individual studies, along with a 95 percent
7 confidence interval, and the summary estimate
8 of sensitivity was .76, for specificity it
9 was .79. And you can see here the
10 meta-analysis, and you can see that the ROC
11 curve fits pretty well but there are really
12 only seven studies or only seven dots, and this
13 is our summary estimate in the range of .75 or
14 so of sensitivity and specificity for CIN2+,
15 and the results for CIN3+ were similar, even
16 though we only had five studies.
17 For FISH for TERC in women with ASCUS
18 for the outcome of CIN2+, two studies with 790
19 patients, and because it's only two studies we
20 did not meta-analyze them, so these are just
21 the point estimates from the two individual
22 studies with some variability here as you can
23 see, both for sensitivity and specificity.
24 This is the forest plot for those two
25 studies. This is the large Chinese study with

00059

1 over 600 people, very precise estimates, and
2 this is one other study, this was done
3 exclusively in women who were HPV positive, so
4 you know, with two studies it's hard to say how
5 consistent they are, but at least the
6 confidence intervals are overlapping, and the
7 same for specificity.
8 Now we're moving on to FISH tests for
9 HPV in women with LSIL. Only three studies,
10 each one with very small sample sizes, only a
11 total of 38 patients. Again, no quantitative
12 pooling. This is the range of the point
13 estimates from these three studies and they're
14 not very far apart, but again, with these small
15 numbers there's a lot of uncertainty about
16 these estimates.
17 Here you can see huge variability in
18 the specificity, and for CIN3, again, it's less
19 people with also a lot of variability in the
20 estimates for sensitivity and specificity.
21 This is the forest plot for those three studies
22 for the outcome of CIN2+; again, wide
23 confidence intervals due to small sample sizes
24 and a lot of variability, even though the
25 confidence intervals overlap.

00060

1 FISH test for HPV in women with ASCUS,

2 only one study provided results for the outcome
3 of CIN2+ with only 12 women, and found perfect
4 sensitivity but poor specificity, and, you
5 know, with two studies, there was a lot of
6 variability here in the estimates.
7 And finally, one study actually
8 combined in its FISH test probes for TERC or
9 HPV and found a higher sensitivity but a lower
10 specificity than the pooled estimate for TERC
11 alone.
12 Two studies examined different test
13 strategies and combined FISH tests with, for
14 different probes or FISH test along with a
15 hybrid capture HPV test, and they're
16 interesting in that they show some principles
17 for the testing. So this study here by Voss
18 looks in women with LSIL for the outcome of
19 CIN2+, at the sensitivity and specificity of
20 FISH tests for TERC or MYC, and this is kind
21 of -- well, this is a little higher than our
22 pooled estimate and this is a little lower than
23 our pooled estimate from the meta-analysis for
24 the cytology stratum. And when you look at a
25 FISH test that combines probes for TERC and MYC

00061

1 just like this, but adds in a probe for HPV and
2 then the test is positive, if any of them is
3 positive you can see that you push up the
4 sensitivity but you lower the specificity.
5 And if you compare that against hybrid
6 capture tests for human papillomavirus you can
7 see 100 percent sensitivity and the benchmark
8 for these tests is about 90 percent, the
9 industry benchmark. But this hybrid capture
10 test is not a FISH test, it has very low
11 specificity, which is the whole reason we're
12 looking at FISH tests to improve our predictive
13 accuracy. So this principle here is if you add
14 more probes, you'll pick up more abnormalities
15 but you'll reduce specificity, and that's kind
16 of in between the, compared to the hybrid
17 capture test.
18 This is another study that looked at
19 different test strategies, here looking at FISH
20 for TERC and FISH for TERC or hybrid capture,
21 so this combines the FISH and hybrid capture
22 tests and compares it also to hybrid capture.
23 Again, hybrid capture has very high
24 sensitivity, low specificity in this particular
25 study, but if you add in the FISH test along

00062

1 with the hybrid capture you push up the
2 sensitivity a little bit more, but again, you
3 lower the specificity, and the FISH test by

4 itself has lower sensitivity but higher
5 specificity.
6 This is shown again here in this
7 Chinese study for ASCUS, same principle, FISH
8 test more specific and hybrid capture more
9 sensitive, unless you combine it along with the
10 two of them.
11 So in summary, sensitivity and
12 specificity estimates often had wide confidence
13 intervals, even when we were able to combine
14 estimates in meta-analysis, indicating
15 considerable uncertainty about the tests to
16 identify women with CIN2+ or CIN3+. Again, the
17 largest pocket of evidence was for FISH test
18 for TERC in women with LSIL for the outcome of
19 CIN2+, seven studies with the pooled estimates
20 of .76 for sensitivity and specificity of .79.
21 We thought overall the strength of
22 evidence was low, and one of the major flaws we
23 thought was that the majority of studies did
24 not stratify women based on HPV results. Now
25 granted, that is because the treating

00063

1 guidelines have evolved to now include
2 co-testing with HPV, which wasn't the case
3 before last year.
4 We thought there were a number of
5 limitations to the evidence, and another
6 important limitation really was that we thought
7 most of these studies were done in convenience
8 samples rather than true screening context, so
9 we were unable to really use the prevalence of
10 the high grade CIN to calculate positive and
11 negative predictive value. Sample sizes were
12 generally small, leading to imprecision, and
13 there were generally few studies for each test
14 outcome pair with the exception of TERC for
15 LSIL.
16 Reporting on items used for risk of
17 bias assessment was often incomplete and
18 another bothersome thing was that the threshold
19 for test positivity varied across studies and
20 point estimates were heterogeneous, so how a
21 test was reported as positive varies across
22 different studies, how many cells had to be
23 abnormal for how many FISH signals.
24 There were panels of HPV probes for
25 the FISH test for HPV 16 or 18 that had

00064

1 considerable overlap but also irreconcilable
2 heterogeneity, and therefore our confidence in
3 the test performance of FISH was low, and we
4 thought it was unclear how FISH adds to the
5 evaluation of women tested according to current

6 guidelines, which as I said, now recommend
7 co-testing at least in women 30 to 65, and
8 these studies were mostly done before the
9 updated screening guidelines.
10 We found no data for women with normal
11 cytology and positive HPV screening tests, and
12 we found no studies examining the association
13 of FISH tests with clinical outcomes.
14 Our key question four dealt with the
15 clinical utility and harms for ISH tests in
16 cervical cytology, but again, there was no
17 study comparing patient care strategies
18 resulting from different tests, thresholds or
19 combinations of ISH and non-ISH tests, or that
20 examined testing strategies that included ISH
21 tests.
22 Our conclusion was that the current
23 evidence is insufficient to support routine ISH
24 testing for TERC, MYC, HPV 16 or 18 in women
25 with LSIL, ASCUS or NSIL on cytology, with or

00065

1 without HPV infection.
2 We identified the following evidence
3 gaps. We found a lack of standardization of
4 pre-analytic issues, thresholds, probe sets,
5 controls, procedures. Meanwhile, there has
6 been a nomenclature update both in terms of the
7 Bethesda system for classifying cytology
8 findings, which now divides ASCUS into ASCUS
9 and ASC-H, and most of the studies that we
10 looked at we think were before this division
11 came into place, so ASC-H wasn't a specified
12 category.
13 And now also for the histology
14 staging, a new system is being endorsed by the
15 LAST group, which stands for lower anogenital
16 squamous terminology, which suggests now to
17 triage CIN2 as an equivocal finding into either
18 high-grade or low-shift lesions, and there's
19 going to be a shift in terminology from CIN2
20 HSIL and LSIL, and this new initiative
21 recommends to stratify CIN2 as high grade and
22 low grade with the use of immunocytochemistry
23 with P53 staining.
24 For the new testing recommendations,
25 again, this is an evolving field and we don't

00066

1 think that the current studies were able to
2 consider the use of HPV screening tests. But
3 furthermore, we anticipate that there will be,
4 the evolution in the HPV test in that not only
5 will they be able to screen for high-risk
6 genotypes, but also may be able to give you a
7 more specific answer for specific genotypes

8 such as 16 and 18 in one go and, you know, that
9 will totally change your a priori probability
10 in how you stratify and triage the women. And
11 finally, there were no clinical outcome
12 studies.

13 We identified the following research
14 needs. Really there is a need to standardize
15 ISH techniques and thresholds. ISH tests are
16 an emerging technology and should be looked at
17 as add-on tests after Pap and HPV co-testing.
18 They need to be studied in larger samples in
19 which it then would be possible to compare
20 clinical validity for different test
21 combinations.

22 You've seen here how the combination
23 of different probes change the sensitivity and
24 specificity, so if you have a large sample, you
25 may need to do lots of different analyses based

00067

1 on how you combine different probes, and that
2 will allow you to compare clinical validity for
3 different test combinations. There needs to be
4 consideration of the impact of newer HPV tests
5 and an interesting area would be to examine
6 FISH or ISH in terms of its role to detect
7 adenocarcinoma, which wasn't at all covered in
8 the literature that we looked at.

9 Thank you for your attention.

10 DR. REDBERG: Thank you, Dr. Uhlig,
11 very helpful. And now we will have Dr. Dorothy
12 Rosenthal, who is a professor of pathology,
13 oncology and gynecology and obstetrics at the
14 Johns Hopkins School of Medicine. You have 20
15 minutes.

16 DR. ROSENTHAL: Good morning,
17 everyone. I would like to thank the organizers
18 for inviting me to participate in this, I've
19 learned a lot. And I also want to thank
20 Dr. Uhlig and her team that did a really superb
21 job of exploring the literature, I also learned
22 a lot from that, and that is the discrepancy
23 between good science and what's in the
24 literature, I think we can all agree to that.

25 At any rate, let me make sure I have

00068

1 all of my electronics in gear here. Much of
2 what I have on my Power Point Dr. Uhlig has
3 covered, and so I'm going to just point out a
4 few of the most important features that I
5 consider from a clinical standpoint. I will
6 make a disclaimer right off the bat, I am a
7 morphologist. When I first started studying as
8 a medical student, we had 48 chromosomes, at
9 least that's what was known, and I just

10 remember this Scientific American article that
11 came out and said there are 46, so we went, oh,
12 my goodness, and that was the beginning of the
13 end, or the beginning, I should say.
14 I'm going to skip over all my
15 disclosures and just bring us to where we are
16 now with HPV and cervical cancer, cervical
17 neoplasia. The main point that I want
18 everybody to recognize is that most of the
19 lesions that we see as cytopathologists and
20 also as histopathologists are transient
21 infections, and the most difficult, challenging
22 part of this entire spectrum of natural history
23 of cervical neoplasia is what lesions are going
24 to progress, even to high grade, and which
25 lesions are going to go from high grade to

00069

1 invasive squamous carcinoma. Just because a
2 patient has a high-grade lesion confirmed by
3 histology does not necessarily mean that she
4 will ever go on to invasive cancer, and of
5 course the major question is why don't all of
6 these patients go on to invasive cancer.
7 Whoever finds the answer to that question will
8 probably get the Nobel Prize.
9 Now in the United States we have lots
10 of good news. We've had, while not really
11 organized screening programs, a lot of programs
12 in effect that have screened large populations
13 of women that have greatly reduced cancer of
14 the cervix in women, but that's not so around
15 the world, and even though our question today
16 is for the American population, and CMS,
17 everything that we study as scientists, please
18 understand is a global issue, and hopefully
19 will affect everyone around the world.
20 The Pap test has been lauded as the
21 most important clinical and epidemiologic
22 screening test that has ever been developed in
23 the United States, it's drastically reduced the
24 cancer incidence of the cervix, and so in the
25 United States you have very few new cases per

00070

1 year and only about 5,000 annual deaths per
2 year from the disease, at least half of which
3 occur in women who have never been screened
4 before, or have been screened only quite a few
5 years ago. The test, as all screening tests,
6 must be inexpensive, noninvasive to the
7 patient, acceptable to the patient and the
8 clinician. Unfortunately it has a very high
9 false negative rate, and that is one of the
10 reasons that the repetitive nature of Pap
11 testing has been such a success. We also have

12 a slow growing biology of the disease and so
13 those two combinations, frequent testing and
14 slow growing lesions, has been one of the
15 things that we have been able to capitalize on.
16 However, it's a subjective test, the
17 Pap test is subjective, and we need individuals
18 who are highly trained and dedicated.
19 There have been some improvements.
20 The Bethesda system for a standardized
21 terminology is one thing, but it hasn't really
22 thoroughly defined what each lesion is. It's
23 given us names for the lesions, but
24 understanding what these lesions truly are and
25 how they behave is something we're still

00071

1 discovering. We've also invented computerized
2 scanners which have been helpful, but they
3 still haven't given us the solutions to what
4 are these lesions going to ultimately do to the
5 patient. Liquid-based Pap tests have been a
6 very helpful addition to our menu of testing
7 but they really have not improved our pickup of
8 precancerous lesions and cancer as much as we
9 had hoped, and now we're doing HPV testing to
10 triage patients to colposcopy.
11 Our terminology we've gone over, I'm
12 going to skip over this, except to just point
13 out to you that in any population of women, the
14 vast majority of them are going to be negative,
15 and something I really want to emphasize to you
16 is that if you have a false negative test and a
17 false negative portion that we talk about,
18 that's going to affect only a very small
19 portion of your population, those in the SIL
20 categories, squamous intraepithelial lesion
21 category, or your cancer category, so around
22 five percent of your population could be
23 affected by a false negative. A false positive
24 rate in a test is going to affect 95 percent of
25 your population, and so this is where the

00072

1 financial burden comes in when you're looking
2 at the performance of a screening test.
3 Our definitions I will not belabor
4 anymore, we talked about false negative and
5 false positive.
6 Here's our pyramid that came out of
7 the ALTS trial. The 15,000 cancer cases, I'd
8 say about 12,000 truly in the most recent data
9 analysis, and if you look at the green base of
10 this pyramid, this is where the problem really
11 is, our ASCUS population and now perhaps if you
12 want to add in the LSIL, that's a huge base.
13 And in the ASCUS population, approximately 50

14 percent of those women will have an HPV
15 positive test if done by a Hybrid Capture 2
16 test, which is a cocktail of HPV subtypes. In
17 the low-grade squamous intraepithelial
18 category, approximately 70 percent of those
19 patients will have a positive HPV test, and so
20 you can see that even though they have a
21 positive test, it's not going to indicate their
22 propensity to go on to cancer, so these are
23 essentially false positive tests from a
24 standpoint of disease and progression.
25 So if we have a population of 50

00073

1 million Paps per year and you do all the math,
2 all the bean counting, you have an economic
3 impact on the health care system of somewhere
4 between 3 and 4 billion dollars a year, and to
5 quote one of my favorites, Everett Dirksen, a
6 billion here, a billion there, pretty soon
7 we're talking about real money, as well as a
8 psychologic burden both on the clinician and on
9 the patient.

10 We know that human papillomavirus now
11 is an essential but not only cause of our
12 cancers of the cervix, and as I indicated, most
13 of these are spontaneously resolving
14 infections, especially in young women who are
15 sexually active. The prevalence declines
16 greatly after the age of 35, which is probably
17 a combination of factors, including less sexual
18 activity with age, as well as hopefully some
19 degree of immunity, although the immunity
20 produced by the virus, which is really only a
21 cutaneous virus, is still not clearly defined.
22 And of course, the main question is why don't
23 all of these infections go on to cancer, there
24 must be something in the individual that
25 precludes the development of the cancer.

00074

1 We have a variety of tests and again,
2 the false positivity of these tests is one that
3 we really have to consider, and want to make
4 sure that it's not driving us to do more
5 follow-up, management type of tests than what
6 should be done. So that in any of these
7 screening tests, negative predictive value is
8 the most critical parameter that we can think
9 about.

10 Now also when you're doing your
11 screening tests, you want to consider the
12 socioeconomic and cultural aspects of the
13 testing. First of all, from a standpoint of
14 the biology of the HPV, we know from
15 longitudinal studies that most of the time if

16 it's going to clear, the HPV infection will
17 clear between eight and 24 months, which means
18 if you're going to repeat the HPV test in a
19 shorter time period than eight months, you are
20 going to perhaps be testing the same infection
21 over again.

22 The other problem is that many of
23 these young women have multiple HPV subtype
24 infections if they've had multiple partners,
25 and so generally we're not separating those

00075

1 multiple infections out. We know that a lot of
2 these infections don't cross-immunize, so
3 there's lot of questions, confounding factors
4 in any of these studies that we're not teasing
5 apart.

6 Patient compliance is a major factor.
7 One of the reasons I went into pathology was a
8 lack of patient compliance, rather than
9 clinical medicine. We also have to consider
10 cultural factors. Even in the United States
11 where we're such a melting pot, we have to
12 really consider what women are going to come in
13 for a pelvic exam and Pap test versus a lot of
14 other women who will stay away. Those women
15 who don't come in and are screened and then
16 develop their cervical cancer, we don't know
17 about.

18 And then also, what are the
19 availability of treatment options. I had an
20 incredible opportunity years ago to go to South
21 Africa, and I said why don't you have a major
22 screening program, this is to the minister of
23 health there, and he said if we screened every
24 woman who is at risk for cervical cancer here,
25 there's no way we could take care of them, we

00076

1 don't have the infrastructure for medical care
2 in this country. And so you don't want to say
3 to a woman, oh, guess what, you have cervical
4 cancer, but, you know, the bad news is we can't
5 take care of you, and that does happen in some
6 well developed countries too, unfortunately.
7 So, the reason I'm standing in front
8 of you is to help answer the question, does the
9 Medicare population have an opportunity to
10 benefit from the FISH test which we were asked
11 to take a look at. First of all, before we
12 take a look at the Medicare population, let's
13 look at the distribution of cervical cancer in
14 the United States. This is from the SEER data,
15 this was published in 2011 from data that was
16 gathered in 2010 over the period of time that
17 you see up on the screen.

18 And if you look at these two charts
19 really carefully, first of all, the chart on
20 the left talks about new cases, new cervical
21 cancer cases, we're not talking right now about
22 pre-cancers, so this I want to really
23 concentrate on for just a second and emphasize
24 to you that if we have a new cancer, that means
25 that these patients have been followed, that

00077

1 preceding this new cancer we're going to pretty
2 much guarantee that she's had precancerous
3 lesions over a decade, and this is where the
4 Pap test may have come into play and picked up
5 her lesion early. Or these new cancer patients
6 may be patients that have denied their
7 treatment, they have denied coming in for their
8 Paps. Either way, a new case, you can bet was
9 preceded by premalignant cervical neoplasia.
10 And so if you look at the age groups,
11 most of your bell-shaped curve is going to be
12 in the population of 35 years to 54. If you
13 get up into the Medicare age group, 65 and
14 over, I'm not talking about Medicaid, just
15 Medicare at the moment, under 20 percent of
16 your population are going to be brand new
17 cancers.

18 Now swing over to the right-hand
19 chart, and stage IA1 to IIA and then IIB to
20 IVB. IA1 to IIA are staged at less treacherous
21 cancers, if you will, and IIB to IVB are those
22 that have metastasized and are going to most
23 likely be lethal unless there is some very very
24 traumatic treatment for the patient. So you
25 want to get the patients early if you possibly

00078

1 can, and in the younger age groups, most of
2 these women when they're picked up as cancers
3 are in the early stage group, and it's only
4 when they get older that they're in the higher
5 age group for the most part. And so there
6 again, look at those that are over the age of
7 65, most of them are, or at least 50 percent of
8 them are in the higher age category, and so I
9 really want us to consider our Medicare
10 beneficiaries.

11 And then a very interesting chart to
12 look at, I found it very fascinating,
13 especially when you look at the proportion of
14 males and females. I think it has something to
15 do with death rate of males, women living
16 longer than males are, but anyway, if you look
17 at the Medicare beneficiaries and you see how
18 many are females, which of course is our target
19 in today's topic of FISH for cervical cancer,

20 you'll see that the vast majority of our
21 Medicare beneficiaries are not really that
22 affected by cervical cancer because most of it
23 is in the lower age group. And then those
24 women that are in the Medicaid population,
25 while you don't want to ignore them, they're
00079

1 younger than age 65, which is the screening
2 cutoff, the recommended screening cutoff, and
3 that's a very small proportion of women.
4 So once again, look at our female
5 Medicare beneficiaries. The grand total is in
6 the gray bar for the age over 65, 22.5 million,
7 those that are disabled 3.8. The orange bars
8 on both the aged and the disabled are the
9 younger group, and the older ones, up to the
10 purple, are a very very small percentage of
11 them. So that we really, the older these women
12 get, the less likely they are going to be
13 beneficiaries of a FISH screening test.
14 Now the screening guidelines we
15 already talked about, thanks to Dr. Uhlig, and
16 I think we can just go right on beyond that.
17 The intervals for screening are only
18 if there have been negative tests in the past,
19 so anytime there's a positive the interval is
20 shortened, and this is something that we really
21 have to pay attention to. The thing that
22 disturbs me a lot as a cytopathologist is we
23 are assuming in any of these guidelines that
24 the Pap test is perfect, it's not. We talk
25 about the false negative rate but it's really

00080

1 not a consideration when people start talking
2 about intervals and extending them, and so you
3 really have to know where your Pap test is
4 being sent, and I will say no more about that.
5 Okay. Again, the technology
6 assessment was wonderfully done, and thank you,
7 Dr. Uhlig, for presenting it so nicely for us.
8 And so here we are with the four questions and
9 I wrote this during Passover, and if you know
10 Passover at all, we have four questions for
11 Passover, so I thought my goodness, here we
12 are, you know, pass the matzoh. At any rate,
13 these are the typical questions that we're
14 asking for our technology assessment, and the
15 main thing that I want to really stress is the
16 clinical validity and the clinical utility.
17 The literature search Dr. Uhlig went
18 through very very nicely with us and I'm not
19 going to belabor that anymore.
20 The TERC, the telomerase component is
21 fascinating. As we all know, telomerase

22 enables cells to either become immortal or to
23 have a life span that is finite, and so one of
24 the things about cancers is that they
25 theoretically become immortal, although they do

00081

1 have a life span of their own, but they
2 seem to be immortal. And so any test that can
3 show a gain in TERC is going to indicate that
4 this patient has a tumor that is going to
5 develop and continue growing beyond the
6 constraints of normal tissues, and so if you
7 find a high-grade lesion, either high grade
8 intraepithelial noninvasive or cervical cancer,
9 with a gain of TERC, you know this patient
10 could be in trouble unless you completely
11 eradicate the cancer.
12 What I look for, and I'm going to
13 continue to look for in any articles that come
14 out, is normal tissues in the same patient that
15 has the gain of TERC, have those been sampled,
16 because we know there must be something within
17 individual patients that enable them to harbor
18 a lesion that is growing and is going to
19 develop into cancer, and I'm not seeing
20 evidence that these patients have other tissues
21 within an area that's nearby, there's tumor
22 that has been sampled, and I think that could
23 be a fascinating study for anybody sitting
24 there in the audience.
25 DR. REDBERG: Two more minutes.

00082

1 DR. ROSENTHAL: I'm just about ready
2 to go, thanks.
3 The studies that Dr. Uhlig talked
4 about, I really can't add anything else to her
5 analysis. They are not very well done from an
6 analytic standpoint and I don't think we have
7 evidence yet. And then when we get to the real
8 struggle, ASCUS and LSIL, the sensitivity and
9 specificity is way beyond what has been
10 considered acceptable. The sensitivity and
11 specificity of one is of course wonderful, but
12 most of the studies don't have it, so they
13 don't really qualify as a good screening test
14 at all, which would be in the .9 range for both
15 sensitivity and specificity, and the same thing
16 for the clinical validity.
17 And then the CIN2 issue is something
18 that is undergoing a lot of change. Dr. Uhlig
19 mentioned this. CIN2 has always been pretty
20 much of a fence-sitter between low grade and
21 high grade, and is going to probably result in
22 pushing it down into the low grade, which means
23 we're going to have even fewer studies with a

24 high-grade endpoint, which is unfortunate.

25 And the same, the TERC has very very

00083

1 few studies that we could really assess the
2 analytic validity and clinical validity of the
3 FISH study.

4 I'm going to go right on ahead.

5 There's nothing that would describe the harms
6 of the tests to the patients. The research
7 gaps, Dr. Uhlig also clarified very very
8 nicely, but something that we really haven't
9 talked about is the effects of vaccine on the
10 natural history of HPV, infections we're going
11 to have to really pay attention, so this is a
12 moving target unfortunately, as most of the
13 scientific research these days is.

14 And so in answer to the question, are
15 we ready yet, I really don't think we are. I
16 think it's a fascinating test that we might
17 want to use as an adjunct. As a screening
18 test, I think there's not enough evidence, and
19 it's also a very expensive test and a very work
20 intensive test unless it's automated.

21 And so all of these things, lack of
22 automation, lack of analytic clinical validity
23 and clinical utility, as well as the
24 subjectivity of finding the abnormal cells and
25 then counting whether or not there's

00084

1 overexpression of TERC, we're not there yet,
2 it's a long way to go. Plus, the people who
3 would be benefitting from it in the Medicare
4 population are really quite low in number
5 compared to other ways in which we could spend
6 our Medicare dollars.

7 So, that's all I have, and I will
8 answer questions later on. I think we have a
9 break now.

10 DR. REDBERG: Thank you very much,
11 Dr. Rosenthal, and thanks to all the morning
12 speakers, I think we know a lot more about
13 cancer and genetic testing. We will now take a
14 15-minute break and return at 10:15 promptly.
15 (Recess.)

16 DR. REDBERG: I'd like to welcome
17 everybody back, and we are going to start the
18 second half of the morning with our public
19 speakers and I will introduce the first one,
20 Dr. Robert Wassman, who is the chief medical
21 officer of Rosetta Genomics, and each speaker
22 will have six minutes. Dr. Wassman.

23 DR. WASSMAN: Thank you very much. I
24 want to thank CMS, the committee and the chair,
25 for allowing me to address you today with my

00085

1 thoughts. I want to focus my thoughts around
2 three general themes, one of which is that
3 diagnostic improvements and improvements in
4 care happen incrementally. Two is, they are
5 founded on basic science that has to be very
6 solidly doing it. And third, in the end, the
7 important thing is the patient experience and
8 improvement in the outcomes for those patients
9 on an individualized basis, not just on a
10 statistical basis. And as identified, I'm the
11 chief medical officer at Rosetta Genomics,
12 which provides one of the tests under question
13 in the CUP category here today.
14 I would like to start this by pointing
15 out that, you know, historically the challenge
16 of cancer of unknown primary has always been
17 the same, it has been to advance our diagnostic
18 acumen such that we can reach the point where
19 we can avail these patients of the choice of
20 the best known therapy at that point in time
21 based on a correct diagnosis. The difference
22 today is that with molecular profiling we now
23 have the best single diagnostic test possible,
24 or historically possible for these patients.
25 And when we consider it, cancer of

00086

1 unknown primary, all patients who present with
2 metastatic cancer are essentially cancers of
3 unknown primary to begin with, until we
4 gradually whittle away with successive uses of
5 technologies that evolved over time to a
6 diagnosis. However, prior to molecular
7 profiling, a significant percentage of these
8 patients were left in limbo without a
9 diagnosis, and today a significant number of
10 them, over 90 percent of cases do reach a
11 conclusion, so their oncologists and their
12 pathologists have these remaining questions
13 answered for them or substantially answered for
14 them, to allow them to make choices about
15 therapy based on the current best guidances we
16 have for therapy. For some of those diagnoses,
17 that's going to result in potential for very
18 significant differences in what the expected
19 outcomes based on patients with these cancers
20 are, a generic therapy versus therapies that
21 are specific for those cancers.
22 Now, our approach to molecular
23 profiling CUP is one that uses a very unique
24 class of biomarkers, microRNAs, and these are
25 based on a very large biology, basis of basic

00087

1 biology, and they have been shown to be highly

2 sensitive, highly predictive of tissue of
3 origin, highly reproducible, which is very
4 important in a clinical diagnostic, and
5 importantly too is they're foundational to the
6 biology of cancer. They are things that
7 underlie the whole process that evolves into
8 cancer, and therefore are not secondarily
9 removed from the question at hand with the
10 patients.

11 When that science is translated to a
12 clinical test, it results in a clinical tool
13 that performs, is very very respectable. When
14 we deliver a single call on a patient coming
15 out of the algorithm of our test, which happens
16 in over 82 percent of patients, there's a 90
17 percent sensitivity for that single call.
18 Two-call results are more categorical, we had a
19 slightly lower sensitivity as a result, but
20 overall this performance is far superior to the
21 tests that were available previous to patients,
22 and allows us to fingerprint the cancer very
23 effectively.

24 Now, the challenge in this area is
25 that there is no gold standard that's

00088

1 identified, so we have to use different
2 approaches to identify what the accuracy of the
3 test is. What I'm going to be looking at is
4 comparing the correlation between known primary
5 metastases and primary tumors, and that
6 correlation, as we see here, is very very
7 close.

8 In addition, this study also
9 highlights something that's very important
10 thinking about the clinical utility of the
11 test, and that is that they were unable to find
12 this hypothetical CUP as a distinct entity.
13 It's been looked for and hypothesized about for
14 a long time, that CUP is somehow different than
15 other cancer. And when they looked at it and
16 looked at the individual cancers, there was no
17 distinct biological marker identification for
18 CUP as a separate class. Therefore, no
19 justification is apparent in the scientific
20 literature to consider treating CUP as anything
21 other than the tumor which you identify based
22 on its primary origin, which is how we treat
23 all other cancers today.

24 The current platform has been based
25 upon this basic biology, it has been based on a

00089

1 first generation test and then on a large
2 training set and a 500-patient clinical
3 validation. In addition, we've extended that

4 to three separate studies at three separate
5 institutions showing concordance with the final
6 clinical pathological diagnosis at those
7 institutions. Most recently, in 84 patients
8 from the Greek Center that several papers were
9 referenced this morning to, in which they
10 showed a 92 percent concordance between our
11 final clinical pathological diagnosis and all
12 of the testing that could be done on that
13 patient.

14 So fundamentally, you know, patients
15 with CUP really face a really challenging
16 cancer experience, in part because there isn't
17 some of the doctors confident in their
18 treatment, and this is really adversely
19 affected by the limitations of our diagnostic
20 capability historically.

21 DR. REDBERG: You have to wrap up.

22 DR. WASSMAN: Thank you. Do I have a
23 minute more?

24 DR. REDBERG: About 30 seconds.

25 DR. WASSMAN: Okay. I just want to
00090

1 close by pointing to the fact that we regularly
2 experience cases like this, where we see
3 patients who have doctors believe they know the
4 diagnosis, the patient does not respond to
5 therapy, and the doctor says well, maybe I
6 don't know what the diagnosis is, and we define
7 the very distinct diagnosis. And the question
8 to be asked of this patient is, had this test
9 been available to the patient sooner, or had we
10 thought of doing this test sooner, to identify
11 this patient did not have breast cancer but in
12 fact had melanoma, would that patient have had
13 guidance to go on newly approved drugs that are
14 targeted to a mutation which could have been
15 subsequently tested, and had a significantly
16 different outcome in their case.

17 Thank you very much.

18 DR. REDBERG: Thank you, Dr. Wassman.

19 Next is Dr. Margaret Havens Neal, who's a
20 pathologist with KWB Pathology Associates in
21 Tallahassee, Florida.

22 DR. NEAL: Good morning, I am

23 Dr. Margaret Neal, I am a pathologist in a

24 large private practice group in northwest

25 Florida, we do anatomic and clinical pathology,
00091

1 I'm a fellow of the College of American
2 Pathologists, I have no conflicts.

3 The College of American Pathologists
4 appreciates this opportunity to speak before
5 MedCAC on these important issues. The CAP is

6 well qualified to make comments here as they
7 are the worldwide leader in laboratory quality
8 assurance, and a leader in laboratory
9 accreditation. They serve over 18,000 board
10 certified pathologists and are supported by a
11 wide range of very expert scientific
12 committees.
13 Cancers of unknown primary are a very
14 important clinical entity. The American Cancer
15 Society estimates that over 32,000 new cases
16 will be diagnosed in the United States in 2013.
17 Currently determining the tissue of origin is
18 very important in these poorly differentiated
19 tumors because treatment is often dependent on
20 the primary site. In recent years we have
21 become much more adept at identifying primary
22 tumor origin by the imaging that's more
23 sensitive, and our routine histologic exam has
24 ever more powerful immunohistochemical stains
25 that are more specific, as well as our

00092

1 molecular testing.
2 The available literature suggests that
3 microarray-based gene expression may help
4 identify some of these unknown origin sites in
5 cases where other traditional ways have been
6 exhausted. If used in the way where the other
7 traditional ways have already been explored,
8 and most likely in the hands of a pathologist
9 who is evaluating those questions, the real
10 volume should be low.
11 These CUP tests are laboratory
12 developed tests, they are proprietary, and for
13 that reason quality assurance issues do arise.
14 They are not part of the FDA process, there are
15 no proficiency tests out there that could be
16 used for these tests, and developing those are
17 very difficult in a proprietary environment.
18 Test validation and independent peer review may
19 have bias associated with these proprietary
20 tests. And in addition, these are expensive
21 and labor intensive tests, and resources might
22 be better used elsewhere.
23 Currently there are no prospective
24 trials that indicate that microarray-based gene
25 expression is more accurate than conventional

00093

1 methods for determining primary site. We have
2 one available prospective trial which has a
3 limited number of patients, 252, and a very
4 wide variety of disease sites, 26, with the
5 survival differentiation measured in months.
6 So at this time there is insufficient data to
7 indicate that treatment based on microarray

8 gene expression results in clinically
9 significant improved survival or improved
10 prognosis.
11 Currently there is no role for FISH
12 HPV testing in cervical cancer screening. The
13 multi-organizational consensus group that
14 recommended guidelines for cervical cancer
15 screening specifically recommends against using
16 non-FDA-approved tests and does not endorse
17 primary HPV testing. FISH HPV testing is still
18 in clinical trials and there are no
19 standardized algorithmic guidelines for its
20 use.
21 FISH HPV may have some role in head
22 and neck carcinomas, but again, we do not have
23 literature to support that there is clinical
24 significance.

25 The CAP would like to thank MedCAC for
00094

1 this opportunity to offer comments and to hear
2 the excellent discussion from this morning. We
3 support accountable, high quality and cost
4 effective patient care testing, and look
5 forward to future research in these areas that
6 can focus on patient benefits with effects on
7 treatment decision and outcome.

8 Thank you very much.

9 DR. REDBERG: Thank you, Dr. Neal.

10 Next is Bernard Berins.

11 MR. BERINS: Good morning. My name is
12 Bernard Berins and I have no conflict other
13 than the fact that after I received the results
14 of the Rosetta Genomics miRview mets2 test on
15 my tumor, I bought 500 of their nine million
16 shares of stock.

17 On July 15th of this year I will be 74
18 years old. I have been married for over 45
19 years, have three grown children, three
20 grandchildren, and I have practiced law full
21 time with the same firm in New Orleans for over
22 50 years. A little more than a year ago as I
23 approached my 73rd birthday, I remember
24 reflecting on the fact that I had reached my
25 70s with no major health issues.

00095

1 Over three years ago my oldest
2 daughter, then 38, was diagnosed with triple
3 negative breast cancer in her right breast.
4 After hearing the diagnosis, it took us no time
5 to spring into action. As is the case with any
6 issue affecting my family, I took the lead in
7 identifying the experts in the field in order
8 to find treatment for her situation. Shortly
9 after discovering the lump in her right breast

10 she underwent a lumpectomy and started off the
11 schedule of chemotherapy followed by radiation
12 treatments.

13 Almost two years ago, one year after
14 her initial cancer diagnosis, we learned that
15 this aggressive cancer had returned, now
16 presenting with a tumor in her left breast.
17 Again, we sought expert advice and did our
18 research, and agreed that a double mastectomy
19 followed by more chemotherapy and more
20 radiation would be the best way to reduce her
21 risk of another recurrence.

22 A little more than a year ago I heard
23 the words again, this time it was you have
24 cancer. While it is not something you get used
25 to, my family and I have been through this

00096

1 before, and waited the results of the tests so
2 I could start treatment as quickly as possible.
3 Unfortunately it was not as forthcoming this
4 time. Little did we know it would take more
5 than eight months to confirm it since the
6 original diagnosis, cancer of unknown primary
7 origin, or CUP, was not a clear conclusion.
8 What we did know was that the cancer had
9 already metastasized to the lymph nodes in my
10 abdomen, groin and neck, while the primary site
11 was not known.

12 This time I was facing a battle and
13 had little or no information to guide my
14 doctors and me. Frankly, I had never heard of
15 unknown primary cancer, nor did most of the
16 people with whom I discussed my illness. Many
17 thought I was joking. Who knew that in this
18 day and age that a patient could be diagnosed
19 with metastatic cancer, yet the primary site of
20 the disease is not always obvious. In fact, as
21 I have learned since, I was one of the
22 approximately three to five percent of
23 patients, cancer cases diagnosed as CUP
24 annually in the United States.

25 Of course my initial concerns grew

00097

1 deeper when I learned that generally speaking,
2 the best method of treating a cancer is by
3 using a chemotherapy medication that attacks
4 the primary cancer. Without identifying the
5 primary cancer, an oncologist, even one who
6 specializes in unknown primary cancer, sets the
7 treatment plan on some medical assumptions and
8 perhaps a little guesswork.

9 In June of 2012 on the advice of my
10 local oncologist, I commenced visits to M.D.
11 Anderson Cancer Center in Houston and have

12 continued almost every ten weeks since. As
13 part of my care, my M.D. Anderson oncologist
14 sent a tissue sample from my biopsy to
15 bioTheranostics in San Diego, California.
16 bioTheranostics molecular tumor profiling
17 determined that I had a 55 percent probability
18 of breast cancer, a 31 percent probability of
19 salivary gland cancer, and an eight percent
20 probability of squamous cell carcinoma.
21 DR. REDBERG: One minute more.
22 MR. BERINS: Based on the
23 bioTheranostics probabilities, the M.D.
24 Anderson oncologist started me on a cycle of
25 chemotherapy with two medications attacking

00098

1 primarily breast and salivary gland cancers
2 while my family and I hoped the specialists
3 would offer more insight, but many questions
4 remained. Later I, in this very serendipitous
5 occasion, in browsing Facebook in the middle of
6 the night during one of my bouts with insomnia,
7 I discovered that a cousin, Kenneth Berlin, was
8 the president and CEO of a company called
9 Rosetta Genomics. I had never met or talked to
10 Kenneth in my life, so I was interested in my
11 long lost relative and curious as to what
12 Rosetta Genomics was all about. So I typed in
13 their website and much to my surprise, I
14 learned that one of their products identifies
15 primary cancers that were previously determined
16 unknown.
17 Shortly I reached out to Mr. Berlin,
18 and arrangements were made through my local
19 oncologist to send a tissue sample to Rosetta
20 Genomics for this testing. Their test
21 reflected to a 90 percent certainty that my
22 primary cancer was breast cancer. The
23 confirmation came at the best time possible,
24 just days before learning that my original
25 chemo regime was not as successful as expected.

00099

1 In January 2013 scans showed that not only had
2 the metastatic cancers in my abdominal lymph
3 nodes grown, but also showed evidence of
4 metastatic cancer on my spine.
5 DR. REDBERG: Thank you, Mr. Berins.
6 MR. BERINS: Thank you.
7 DR. REDBERG: Our next speaker is
8 Dr. Anthony Greco, Sarah Cannon Cancer Center
9 and Research Institute.
10 DR. GRECO: Thank you very much. I'm
11 a medical oncologist in Nashville, Tennessee.
12 I have been seeing and evaluating these
13 patients since 1976, written a few articles on

14 them. I appreciate Dr. Conley's review, it was
15 an excellent review, and will knock a minute
16 off my talk today.

17 This is a difficult problem, to say
18 the least, and I'm going to move -- it looks
19 like I have Dr. Weiss's sides up there, though,
20 just a little technical error. The previous
21 speaker had no slides, you need to go back.
22 Anyway, the issue of diagnosing the
23 type of cancer is extremely important in
24 patients with unknown primary cancer. That's
25 how we treat patients with cancer. If we don't

00100

1 have a diagnosis, we're in trouble, we either
2 send them to hospice or we treat them with
3 empiric therapy.

4 Now, I see them up here but I don't
5 see them over there. There you go.

6 A couple of the first slides, I don't
7 need. I do speak for bioTheranostics and
8 receive honoraria for giving talks, many of
9 their CME talks.

10 I won't talk about the incidence of
11 this disease, you've heard about it. The main
12 issue here is that when patients are evaluated,
13 you can't find the anatomical primary site
14 despite the fact that they have metastatic
15 cancer; this is a problem, as you might
16 imagine. We work those patients up rather
17 extensively, you can't find the primary.
18 What have we done in the past? Well,
19 we've used shotgun therapy for these patients,
20 we use broad spectrum antineoplastic drugs,
21 sort of like broad spectrum antibiotics. Not a
22 good idea now, not a bad idea 25 years ago, we
23 couldn't treat most solid tumors very well.

24 Now individual treatments for solid tumors is
25 different, kidney cancer, breast cancer,

00101

1 melanoma, lung cancer, they're different, so
2 knowing the answer to what type of cancer the
3 patient has is not academic anymore.

4 Now if you want to learn how accurate
5 this test is in unknown primary cancer, I would
6 encourage you to read an article to be
7 published June 5th of this year in the Journal
8 of the National Cancer Institute looking at
9 this particular cancer, type IVR22CR, and the
10 accuracy in unknown primary cancer. That is an
11 important question. There are really only two
12 questions, the accuracy of the test and the
13 outcome of the patients.

14 If one looks at treatment in large
15 numbers of patients, mainly done by our group,

16 which is a cooperative group in treating these
17 patients over the past few years, you will see
18 that the median survival of all the patients,
19 grouping them all together because we didn't
20 know what they had, is about nine months. This
21 is pretty rock solid, particularly when you
22 exclude the favorable subsets of patients,
23 those that Dr. Conley went over briefly
24 earlier.

25 Now we designed the study. Myself and
00102

1 my associate, Dr. John Hainsworth, had nothing
2 to do with bioTheranostics. We went to them
3 because we needed their tests, because we felt
4 like it was probably going to help us improve
5 the outcomes of these patients. The
6 difficulties in this type of study was outlined
7 beautifully by Dr. Conley and I won't go into
8 that, but we wanted to look at a prospective
9 study where patients were diagnosed with this
10 molecular test and then treated according to
11 what the molecular test said, and we wanted to
12 compare that to historical controls that our
13 own group had developed in nearly 400 patients
14 in just the preceding years. We wanted to see
15 a 30 percent increase in median survival
16 compared to those controls, and we also wanted
17 to compare the more treatable subsets defined
18 molecularly versus those we would expect not to
19 do as well defined molecularly.

20 So basically this is the study design.
21 The patients had CUP, they had this test done,
22 and then the patients were treated according to
23 the molecular diagnosis. This was published in
24 the Journal of Clinical Oncology. I won't go
25 over the treatments, but they're just more or

00103

1 less standard therapies for those particular
2 cancers. Remember, all the patients had CUP,
3 but they were being treated individually.
4 This just shows you a flow diagram.
5 289 patients in this trial, a large Phase II
6 trial. Some patients didn't have sufficient
7 tissue, but 194 patients had an assay done that
8 we could then give prospective site-directed
9 treatment to. It's important to realize that
10 115 of these who were molecularly diagnosed
11 with cancers we would expect to do better than
12 the 79, and I'll show you those groups in just
13 a second.
14 These are the molecular diagnoses. 98
15 percent of the patients had one diagnosis made
16 in molecular fashion, and you can see that
17 nearly half the patients identified would have

18 a molecular target available in treatment if in
19 fact they had that type cancer.
20 DR. REDBERG: One more minute.
21 DR. GRECO: Okay. This shows the
22 survival of all patients compared to historical
23 controls. You can see -- and keep in mind,
24 this is group data -- it met expectations. The
25 median survival was about three-and-a-half

00104

1 months greater for the blue curve versus the
2 yellow curve. Probably more important is when
3 you look at the more responsive ones,
4 colorectal, breast, ovarian, kidney, germ cell,
5 et cetera, versus the less responsive defined
6 molecularly in the left-hand column, you can
7 see there's a substantial difference in
8 survival, the curve separates all along with a
9 P value of .04.

10 So it's clear to me and most of us in
11 this field, this is penultimate data, this is
12 prospective outcome data and you're not going
13 to have any better data than this unless you
14 have the ultimate study, which I can tell you
15 is not going to be feasible in the United
16 States. This test needs to be done when you
17 can't diagnose the patient by
18 immunohistochemistry, so then you can give
19 specific site-directed treatment. Thank you.

20 DR. REDBERG: Thank you, Dr. Greco.
21 Next is Dr. Lawrence Weiss, who is chairman
22 emeritus of the department of pathology at the
23 City of Hope National Medical Center, and a
24 senior consultative pathologist at Clariant.

25 DR. WEISS: My interest was conducting

00105

1 a study on the comparative effectiveness of
2 gene expression-based cancer classification
3 versus a standard of care in
4 immunohistochemistry, and we recently published
5 our results a few months ago earlier this year
6 in the Journal of Molecular Diagnostics.

7 My conflicts of interest are given
8 here. Actually, I'm an employee of a
9 corporation called Clariant Pathology Services,
10 but we have an exclusive contract with
11 Clariant. I will also say that I am a
12 diagnostic pathologist, board certified, a
13 member of the College of American Pathologists,
14 and much of my daily practice is in trying to
15 diagnose carcinomas of difficult origin.

16 So, the standard of the art is right
17 now morphology combined with
18 immunohistochemistry. It interprets
19 histochemical stains at varying sensitivities

20 and specificities for various organs,
21 occasionally with very significant
22 cross-reactivities. They're not applied in a
23 standardized fashion, different pathologists of
24 different skills apply different antibodies in
25 different ways in different laboratories.

00106

1 A meta-analysis showed
2 immunohistochemistry had an accuracy of 66
3 percent. This meta-analysis may have been
4 recent but it was mostly based on older
5 studies, so it's not clear whether it's the
6 same or different. And so, trying to diagnose
7 difficult to diagnose origins is a definite
8 area in pathology that is a significant
9 problem.

10 So again, the objective in my study
11 was to compare immunohistochemistry to one of
12 these more elaborate molecular studies, and we
13 used cancer ID tests from bioTheranostics.
14 Basically it was a prospectively designed
15 blinded comparative study using retrospective
16 studies' so-called tissues of convenience. We
17 prospectively looked at the diagnosis and only
18 chose cases where we had a diagnosis
19 beforehand, and we did this on the basis of all
20 the information available to us. Many of these
21 patients had been around for many years and
22 were well known by the clinicians for having a
23 primary site.
24 We had two study arms, one molecular
25 studies, one immunohistochemistry. We

00107

1 developed the protocol at City of Hope and
2 didn't book any interference from the company
3 even though we needed the company to bankroll
4 the study because we couldn't do, you know,
5 120, 130 studies without financial support.
6 The cases were, again, selected on the basis of
7 being a challenging primary site, formal and
8 fixed paraffin-embedded tissue available.
9 Because they were challenging cases, they were
10 primarily high-grade tumors, 90 percent were
11 metastatic. We threw in some primary tumors,
12 particularly in lung, so people could just
13 assume it was a metastasis and not a primary
14 from that site. Again, the reference diagnosis
15 was established by clinical correlation,
16 including access to radiology, clinical charts.
17 Many of these patients had been known at City
18 of Hope for many years.
19 Specimens were blinded, coded and sent
20 to the two separate sites for the diagnosis,
21 and the predictions were analyzed by a

22 third-party statistician, trying to keep it as
23 unbiased as possible.
24 Here's the basic results. CancerTYPE
25 ID demonstrated an increase in overall accuracy

00108

1 of 10 percent compared to immunohistochemistry,
2 it was 79 percent versus 69 percent. The
3 accuracy was good for both technologies when
4 there were good antibodies that are relatively
5 organ-specific and organ-sensitive, such as GI,
6 lung and kidney. Immunohistochemistry was not
7 so good when the organ-specific antibodies did
8 not have as high sensitivity and specificity,
9 such as for bladder most notably, but also for
10 breast, and remember that breast is a very
11 actionable tumor.

12 DR. REDBERG: One more minute.

13 DR. WEISS: Both technologies made the
14 correct prediction, the same correct prediction
15 in 65 percent of cases. On the other hand,
16 CancerTYPE ID got 14 percent of cases that
17 immunohistochemistry did not,
18 immunohistochemistry only got five percent that
19 the cancer ID did not.

20 Summary, results from this blinded
21 comparative effectiveness study demonstrated
22 somewhat superior accuracy for the gene
23 expression-based classification. Whether this
24 is due to the greater number of genes that are
25 looked at or that RNA retains its

00109

1 differentiation better than the protein
2 expression, it did prove better.
3 It's important to note that the cases
4 selected for this study are not representative,
5 we chose the hardest and the most difficult
6 cases. Therefore, the percentages of accuracy
7 may be lower than some of the other studies.
8 The results of this study show that a
9 significant number of patients may be at risk
10 for misdiagnosis, and gene expression
11 classification demonstrates its clinical value
12 with improvement of diagnostic accuracy over
13 standard of care.
14 What we're trying to do is eliminate
15 carcinoma of unknown origin. Over the years
16 we've reduced that, and molecular tests are
17 going to help us do that. I might add that the
18 immuno studies, we gave the pathologists up to
19 15 blanks, they used a mean of about nine
20 stains, and immunohistochemistry is pretty
21 good, but once you go over nine stains it gets
22 harder to make a diagnosis because you have
23 less good antibodies, so the two technologies

24 can work hand in hand. And as a pathologist,
25 one needs to be technology agnostic, and use
00110

1 the best technology that you possibly can for
2 your patients, and I think in some cases it
3 will be immunohistochemistry, and at other
4 times it may well be gene expression profiling
5 studies.

6 Thank you.

7 DR. REDBERG: Thank you, Dr. Weiss.
8 Our next speaker is Dr. Catherine Schnabel, who
9 is the vice president of medical, clinical and
10 regulatory affairs at bioTheranostics.

11 DR. SCHNABEL: Good morning, I'm
12 Catherine Schnabel, I handle the clinical
13 development and medical direction at
14 bioTheranostics, which is the developer of
15 CancerTYPE ID. I appreciate the opportunity to
16 comment today on the significance and clinical
17 impact of these molecular classifiers.

18 In the current practice of
19 personalized medicine, individualizing care
20 really means knowledge of the lesser attributes
21 of the tumor in order to define clinical
22 subsets that would be responsive to clinical
23 therapies. Tissue of origin plays a
24 fundamental role in this practice because it
25 provides a cellular context that actually

00111

1 determines which predictive markers will be
2 relevant, and ultimately what therapies will be
3 efficacious. You can see underlying biologies
4 if specific tumors are tissue specific.

5 The current unmet need is really based
6 on the limitations of standard of care, in
7 particular immunohistochemistry, which is
8 really the cornerstone of tumor classification
9 to date. It is subjective in its approach and
10 its interpretation, and there are numerous
11 studies which document several clinical
12 scenarios where there's a lot of interpathology
13 discordance arising to a definitive diagnosis.
14 So who are these patients that would
15 be clinically impacted by this type of
16 technology? On the low end are patients that
17 are diagnosed with actual cancers of unknown
18 primary, those are in the tens of thousands,
19 and those are patients that lack site of origin
20 despite comprehensive and exhaustive workups.
21 On the other end are really the ones in the
22 disease state that is really more expanded,
23 which Dr. Weiss represented, the difficult to
24 diagnose cases, and these are patients that
25 actually after workup have a tentative,

00112

1 uncertain or nondefinitive diagnosis. And
2 these patients may face suboptimal care because
3 no rational approach can be applied, because
4 the tissue of origin has not been identified
5 for these patients, and as more targeted
6 therapies and site-selected therapies are
7 available, these technologies will become more
8 relevant.

9 So, I would like to make comments
10 about really the collective evidence for these
11 technologies today. These were summarized in
12 great detail by the technology assessment. The
13 only couple of points that I will make is that
14 these technologies have been systematically
15 investigated through pillars of evidence, four
16 pillars of evidence that really underlie
17 evidence-based diagnostics. There are
18 thousands of patients that have been
19 investigated, and several of these studies have
20 been published in peer reviewed journals that
21 actually, where practice changing information
22 is communicated to physicians.
23 The other point that I would make is
24 that one of the limitations currently in these
25 technologies was that there were numerous

00113

1 company-sponsored studies. I think we've heard
2 that these protocols have been created and
3 developed in academic centers where involvement
4 of the industry sponsor was minimal, despite
5 what was noted in the technology assessment.
6 The other point that I will make is
7 that molecular cancer classification has
8 recently been incorporated in consensus
9 guidelines for patients with cancers of unknown
10 primary.
11 Speaking specifically of CancerTYPE
12 ID, of the three technologies described today,
13 this is one that is based on PCR-based gene
14 expression profiling. The advantage of having
15 a PCR-based platform is that the biospecimen
16 requirement is minimal. The advantage of that
17 is that we all know that with tissue,
18 tissue-based diagnosis, the resources of the
19 biospecimen is precious, and so there's the
20 added advantage of complementing this
21 technology because the cellularity requirements
22 for the technology are very very small, so that
23 allows cells to be saved and tissue to be saved
24 for downstream testing, and more information to
25 be gathered about the tumor.

00114

1 I would also make the comment that

2 these tests have a very very precise turnaround
3 time, which is very impactful for these
4 patients, because time to diagnosis is critical
5 for enabling them to get the care in an
6 expeditious manner. The turnaround time for
7 CancerTYPE ID and other technologies in this
8 class is between five to seven days.
9 This is data we and others have shown,
10 comparative effectiveness. The other thing
11 that the technology assessment noted was that
12 there was low evidence in the area of clinical
13 utility. I would submit and argue that any
14 test that basically goes up to standard of care
15 and shows increased performance characteristics
16 over current standard of care builds a strong
17 case for clinical utility. As I said, we and
18 others have demonstrated that an absolute
19 improvement of over ten percent in diagnostic
20 accuracy, what that means for patients is there
21 would be an increased chance that there would
22 be less misdiagnosis using a diagnosis that is
23 standard and objective.
24 These are data that were presented by
25 Dr. Greco, and really what was missed, I think,

00115

1 as well in the technology assessment, is that
2 this is a prospective study where patients were
3 recruited and then treated with site-directed
4 therapy based on the molecular test. These are
5 data that were shown by him, patients that were
6 chosen to have, or selected by the CancerTYPE
7 ID test to have more responsive tumors, showed
8 a statistical significance in overall median
9 survival, which is the hardest endpoint for
10 clinical outcomes and clinical utility.
11 I will skip this and make concluding
12 remarks. Really what I want to impress upon
13 this group today is that the totality of
14 evidence is really not directional towards a
15 technology that's in its infancy, but really
16 more of an evolving, rapidly evolving arena,
17 and that the technologies that are being
18 investigated today have both the patients and
19 physicians in mind, and that these molecular
20 tests have been routinely integrated and
21 adopted in routine clinical practice, and of
22 course the majority of those patients are
23 Medicare patients.
24 Thank you for your attention.
25 DR. REDBERG: Thank you, Dr. Schnabel.

00116

1 I believe we have one more speaker before the
2 panel is able to ask questions. So, Mr. Dan
3 Jones, from Quest Diagnostics. You have one

4 minute.

5 DR. JONES: Hi. I'm Dan Jones, the
6 medical director for cancer diagnostics at
7 Quest Diagnostics, and I also run a pathology
8 group right up the road in Chantilly, Virginia.
9 And I really, without Power Point, wanted to
10 make the perspective that not all health care
11 is as coherent as happens in a regional cancer
12 center. Prior to joining Quest I ran marker
13 diagnostics at M.D. Anderson Cancer Center.
14 Everything was very coherent and logical.
15 Most cancer care is delivered in and
16 out of the reference lab settings in the
17 country, and a significant amount of GYN
18 pathology is delivered there. We need tools to
19 be able to help us in diagnoses where we have
20 minimal information, and right now for the
21 cervical biopsies, colon biopsies, where they
22 see a lesion and they're not sure what they're
23 looking at, they may or may not know what the
24 Pap smear shows, we need such tools as the situ
25 hybridization for HPV, and TERC, FISH and other
00117

1 tools that are being developed. Because if we
2 don't have those tools, we have a limited
3 ability to do more than just say I'm not sure
4 if this is reactive, or I'm not sure if this is
5 a lesion, and that really doesn't benefit the
6 patient, and it doesn't benefit the clinicians
7 that are treating.

8 So, I agree with the studies that were
9 shown, we have seen similar studies that were
10 shown this morning, but we have to think about
11 how care is actually delivered for cancer
12 patients in this country, it's not as coherent
13 as we'd like to assume in a regional cancer
14 center where you can do very well controlled
15 studies. I just want to bring that
16 perspective. When you think about these tests,
17 we need these types of tests in the outpatient
18 setting to help make accurate diagnoses.
19 Thank you.

20 DR. REDBERG: Thank you. I want to
21 thank all of the speakers on behalf of the
22 panel, and ask if you could now come up to the
23 front row, and the panel members can now start
24 asking questions, and if you'd just signal to
25 me, I will recognize people in order. So,
00118

1 Dr. Stecker.

2 DR. STECKER: Thanks to all of the
3 speakers for helping to distill and clarify and
4 give a broad perspective. I had two questions,
5 one for Dr. Whitehead.

6 The first is about how in the
7 analysis, how your, how the quality of evidence
8 is created. I'm particularly interested in
9 survival data with regard to clinical utility,
10 and this is regarding cancer of unknown
11 primary. I see there are four studies that
12 report on survival. Two have no control group,
13 one has a historic control group, the fourth
14 doesn't report on what the control group is, or
15 you didn't. I note that one of the ones
16 without a control group, Panto in 2003, is
17 rated as good evidence, and so how does an
18 outcomes trial without a control group rank as
19 good evidence?

20 DR. WHITEHEAD: Many of the studies
21 looked at more than one thing and so they were
22 included if they provided, you know, evidence
23 on a question, but they may have been graded on
24 the overall, you know, the primary point of the
25 study. If you will give me --

00119

1 DR. STECKER: Yeah, I'm sorry to delve
2 into this. It's PDF page 87 and your page 70.

3 DR. WHITEHEAD: Okay.

4 DR. STECKER: And this is one of many
5 things you reviewed, so I understand it may be
6 hard to pull up. In general I was wondering,
7 you know, since, from a clinical research
8 standpoint, survival or outcomes analysis, you
9 know, the primary thing determining is what's
10 the quality of the control, is it randomized
11 with a quality control group, so I was confused
12 about how in a survival study, something
13 reporting on survival without a control group
14 was rated as good.

15 DR. WHITEHEAD: You said you were on
16 page 87 in the report?

17 DR. STECKER: PDF page 87, report page
18 70.

19 DR. WHITEHEAD: Give me a chance to
20 review this. Okay. That study is actually
21 not -- this is a cytogenetic study, so it is
22 not one of the three molecular tests that I
23 focused on today. The primary purpose of the
24 study was to look at how well the cytogenetic
25 analysis contributed to the diagnosis, and for

00120

1 that purpose of that design it was well
2 designed, so it got rated as a good study for
3 the primary purpose of the analysis.

4 DR. STECKER: Because in the table it
5 says outcome median survival, so the purpose
6 you reviewed it for was not survival?

7 DR. WHITEHEAD: Right. It was graded,

8 the quality of the study was graded on the
9 clinical validity of the study, which was its
10 primary purpose. It also reported this data on
11 survival and so we included that data here, and
12 that's the reason that it wasn't graded as a
13 poor study, because that wasn't the primary
14 reason it was designed for, it just reported
15 some evidence for something.

16 DR. STECKER: Fine.

17 DR. REDBERG: Dr. Sartor.

18 DR. SARTOR: Thank you. This will be
19 for Dr. Greco, thank you for performing a
20 prospective study. I have some questions about
21 the design. So within the conclusions, there's
22 several. Number one is that against a historic
23 control, that patients with a site-directed
24 therapy did better, and I was wondering a
25 little bit, why not use an active control in a

00121

1 randomized fashion? It seems like that would
2 have been a more convincing design.

3 DR. GRECO: Absolutely. It's not
4 feasible. We have a cooperative group that's
5 been studying these patients, really since the
6 early '80s. We pooled the physicians and that
7 study, which is the ultimate study, was not
8 feasible, it could not be done in the United
9 States, they would not put the patients in the
10 trial, so we had to go to the penultimate
11 study, which you heard today.

12 DR. SARTOR: And so, if I may, the
13 rationale for placing patients in a trial is
14 that they were a priori convinced that
15 site-directed therapy would be better?

16 DR. GRECO: I guess so. Again, you'd
17 have to ask each individual doctor there.

18 DR. SARTOR: Sure.

19 DR. GRECO: Certainly we had data at
20 the time, and Dr. Conley even showed some of
21 the favorable subsets, those patients with
22 colorectal profiles, that was defined not only
23 by immunohistochemistry but also by molecular
24 diagnosis. Those patients looked to as well as
25 their cohorts who had known colorectal cancer,

00122

1 so you can see why some doctors might be a
2 little bit leery about giving them a control
3 therapy which is inactive against colorectal
4 cancer. That's also true in renal cancer and
5 many others.

6 So there is an inherent bias that is
7 just part of reality, I can't get around it.
8 There's never been a Phase III trial on unknown
9 primary cancer, so the empiric treatment, which

10 by the way, basically was developed by my
11 group, not entirely, 90 percent, was based on
12 Phase II data as well, so, you know, the law of
13 the literature is not always perfect. I wish
14 it were.

15 DR. REDBERG: Dr. Rizzo, and then
16 Dr. Sanders.

17 DR. RIZZO: I have questions of two
18 people from these studies. To follow up on
19 this question, you're saying that basically
20 because of beliefs, we wouldn't really be able
21 to execute a proper randomized clinical trial
22 to, once we had the potential for directed
23 therapy, directed versus not directed therapy,
24 essentially?

25 DR. GRECO: Exactly right. People,
00123

1 you need cooperative groups to do that kind of
2 a study because of the number of patients,
3 et cetera, so you have to have some early
4 indication of whether you're going to have a
5 pool of subjects, and from the cooperative
6 group we had no such assurances, so we had to
7 fall back to the next level of evidence.

8 DR. RIZZO: Great. Can I follow up on
9 the study you presented with two questions?
10 First of all, you had a historical control
11 group and you compared those with the
12 assay-directed therapy to historical control.
13 Two questions about that historical control.
14 First, what are the years of treatment from
15 which it's taken, and second, you did not
16 present a P value that actually compared the
17 survival, or the survival difference between
18 your assay-directed therapy and your historical
19 control, whereas in the next slide you did
20 present the P value. Can you elaborate?

21 DR. GRECO: The second one first.
22 There was a statistically significant
23 difference in those two curves that wasn't
24 listed, median survival was the endpoint there,
25 and so that was statistically significant. The

00124

1 curves were as well, but it wasn't reported on
2 that slide.
3 The historical control patients were
4 taken from the same cooperative group that did
5 this large Phase II trial. In the six years
6 previously, okay, in 396 patients, we had great
7 details on those patients, but because of size
8 limitations we couldn't include them, a very
9 similar group of patients.

10 DR. RIZZO: Last question with regard
11 to your presentation, if I may. You presented

12 a more responsive and a less responsive group,
13 but isn't that what we would generally expect?
14 If you were to compare the survival of patients
15 who had pancreatic cancer to the survival of
16 patients who had colorectal cancer, to a
17 certain degree you've shown us what we already
18 know; is that not correct?

19 DR. GRECO: Yes.

20 DR. RIZZO: I'm just trying to
21 understand the value of that comparison versus
22 the real comparison of interest which is, if
23 you have site-directed therapy, is that better
24 than not.

25 DR. GRECO: Good question. These

00125

1 patients have unknown primary cancer, we don't
2 know the site of origin, okay? So this test
3 allows us to have the tissue of origin, and
4 therefore the patient would then be treated as
5 such. Without that information, the standard
6 therapy is to give them all the same therapy
7 and it's not effective in a lot of them. Plus
8 there are tertiary, secondary and tertiary
9 therapies that are useful in breast cancer, I
10 can name many, renal cancer, lung cancer, that
11 you wouldn't even know to use unless you have a
12 diagnosis. So that substantiates our belief
13 that it's important to know, those curves are
14 precisely defined by molecular diagnosis.
15 Those are unknown primary cancer patients, yet
16 the curve separates with site-specific
17 treatment. To me that's more important than
18 the primary endpoint, but you have to judge for
19 yourselves.

20 DR. RIZZO: Thank you.

21 DR. REDBERG: Dr. Sanders.

22 DR. SANDERS: I have two questions
23 that are somewhat linked and probably for any
24 of the presenters this morning. Dr. Schnabel
25 characterized survival as the hardest kind of

00126

1 outcome that applies in these types of studies,
2 and I'm just wondering if a three-month
3 increase in survival in most cases is
4 considered by either oncologists, other
5 treating physicians and patients as a
6 clinically meaningful difference.
7 And then my second question is, if
8 somebody could characterize for those of us who
9 are not oncologists in the room, what the side
10 effects are of these profiles, how they differ
11 between the empiric therapy and the therapies
12 that might be used in the aftermath of the
13 application of one of these tests.

14 DR. REDBERG: Dr. Greco.
15 DR. GRECO: I wanted to address the
16 survival because it's a very important question
17 and most lay people when they see that, even a
18 lot of doctors don't understand survival curves
19 in cancer patients, they don't understand, a
20 three-month median survival difference doesn't
21 mean that every patient who gets the treatment
22 lives three months longer and then dies
23 straightaway the next day, those are statistics
24 of the whole group. As I showed from the
25 second curve, there are some patients in there

00127

1 living three and four years, so how important
2 and how long should a median survival be?
3 DR. SANDERS: Clearly those are
4 outliers, though.
5 DR. GRECO: Yes, there are, but some
6 live less, some live more, but your question is
7 a good one. We predefined what we thought was
8 important. In a lot of studies of patients
9 with advanced cancers, that predefined amount
10 of length of improvement is a satisfactory and
11 accepted amount, even though some people say
12 that's nothing, I mean, why do that, that
13 doesn't help anybody. You see what I mean? It
14 does in fact help people, particularly those
15 outliers on the other side of the median
16 survival, which of course is half the patients.
17 Most drugs in this country are
18 approved for median survival differences in
19 advanced cancers of from three to six months,
20 that's it, so this fits that as well.
21 Now the second question, I think the
22 answer is up for grabs.

23 DR. REDBERG: Dr. Schnabel.

24 DR. SCHNABEL: So to Dr. Sanders'
25 point, the other thing that I would point out

00128

1 is that, and Dr. Conley also brought these
2 points out during her presentation, is that
3 you're talking about a subset of patients that
4 have a vast amount of heterogeneity. It's
5 difficult to demonstrate a clinical margin of
6 three months in a specific tumor type, much
7 less in a cohort of patients that had arguably
8 20 different cancer types. And so while there
9 are different prognostic variables to consider
10 within, which is a part of Dr. Rizzo's
11 comments, within that patient population you
12 have to realize that three months in that
13 largely heterogeneous population is clinically
14 significant and clinically meaningful.

15 DR. REDBERG: Dr. Sedrakyan.

16 DR. SEDRAKYAN: Dr. Whitehead and
17 Dr. Conley, can you comment about the study
18 that has been presented by Dr. Greco that is
19 not part of your technology assessment, and
20 comment about the quality and any other issues
21 that you would like to talk about, sample and
22 convenient sample, whether it's consecutive,
23 please comment about the quality of it.

24 DR. WHITEHEAD: Some preliminary
25 results from that study are included in the

00129

1 technology assessment, because we had abstracts
2 that had been presented on that study. We had
3 several concerns about the study from the point
4 of view of addressing whether or not the
5 molecular tests worked better than current
6 standard of care, and that is that everybody's
7 first, there was no randomization on whether
8 people got the tests, so everybody was offered
9 the tests, and were only in the trial if they
10 accepted the test. And the people who were
11 used as controls to compare survival outcomes
12 in that study were people who did not want to
13 have their therapy based on the test.

14 And the study that used empiric
15 controls, there was no -- I heard today that
16 they just couldn't include the comparison
17 between the two patients, but there was no
18 adjustment for any differences of any workup
19 included in the article that looked at whether
20 or not the patients who were used as empiric
21 controls had similar treatments, similar
22 characteristics, similar diagnoses, or anything
23 that would confirm that those patients were in
24 fact appropriate controls for the study.

25 DR. MELETH: Also, we could only

00130

1 evaluate the evidence based on what is
2 published, so if there was information about
3 patients that was not in the article, there's
4 no way to assess the evidence on that.

5 DR. REDBERG: Dr. Conley, did you want
6 to comment?

7 DR. CONLEY: Sure. This is
8 Dr. Barbara Conley, asked to comment on the
9 quality of the study presented by Dr. Greco.
10 It is a fact that you cannot do an ideal study
11 if the patients who are supposed to be on it,
12 or the doctors who are supposed to put the
13 patients on it won't do that. The
14 heterogeneity issues are real. I look at that
15 study as promising data.
16 I do, I think someone in this room
17 commented on the curve showing the difference

18 between the good prognostic group and the poor
19 prognostic group, but I don't think that -- I
20 mean, that's an interesting graph, but it's too
21 hypothesis-generating to be used for any kind
22 of conclusions, and I'm sure Dr. Greco would
23 agree with that, you know, how do we separate
24 those patients out.

25 So, I think within the realm of what

00131

1 we have available and the fact that that same
2 group of doctors have been putting the same
3 kind of patients on the same kind of trials for
4 a quarter of a century, it is probably as good
5 as we would have in the literature at present.
6 DR. REDBERG: I'm sorry to hear that.
7 I do think a randomized trial with actual
8 controls and removal of bias is still a really
9 important -- I don't know, Dr. Rizzo, if that's
10 what you were getting at, but certainly in our
11 history of cancer treatments, and I was
12 thinking of bone marrow transplantations for
13 advanced metastatic breast cancer which, you
14 know, a lot of people, I mean, this is clearly
15 a very sick and very desperate group of
16 patients for treatments and we want to believe
17 that treatments or tests will work, but there
18 were a lot of women that were harmed until a
19 randomized trial was done showing that there
20 was no benefit and tremendous harm from this
21 test. So it's hard not to think that, you
22 know, we have to really do a randomized trial,
23 and it's certainly a trial of high quality with
24 actual controls and removal of bias to really
25 answer the question and give the best care to

00132

1 our patients. You know, however good our
2 intentions are, we still need to find some
3 evidence. Yes.

4 DR. BEYER: A couple questions.
5 First, for Dr. Schnabel, at the end of your
6 presentation you had made the, kind of tossed
7 off the comment that these tests are the
8 subject of some consensus statements, and I
9 would like to ask you to be a little more
10 specific about whose consensus statements or
11 guidelines or what these were parts of, and
12 were these the specific tests in question that
13 they were talking about.

14 DR. SCHNABEL: Thanks for the
15 opportunity to clarify my comments. What I was
16 referring to was that there have been several
17 consensus statements really from the opinion
18 leaders and groups that have investigated CUP,
19 that have published guidelines that have

20 integrated these molecular classifiers in their
21 clinical paradigms. So for instance, you know,
22 investigators and clinicians at M.D. Anderson,
23 we have European investigators as well that
24 have collaborated with our investigators here,
25 that have published diagnostic paradigms that
00133

1 have integrated molecular classification into
2 their workups.

3 DR. BEYER: Are there any society
4 statements on this other than what we've heard
5 today?

6 DR. SCHNABEL: Not currently. These
7 are national and key opinion consensus
8 statements.

9 DR. REDBERG: Thank you. You had one
10 more question, and then I have Dr. Nowak, Dr.
11 Stecker, Dr. Sartor and Dr. Howard.

12 DR. BEYER: If I could ask Dr. Greco
13 to come back and comment, I would agree with
14 the other commenters that the second graph, the
15 good group versus the bad group is thought
16 provoking, but merely thought provoking.

17 The first graph that you showed, with
18 the median survival difference, I actually do
19 recognize a median survival of three to four
20 months as pretty good, but I notice that these
21 curves were absolutely superimposable for at
22 least the first six months, there is not a hair
23 between the two. Can you comment on what you
24 think is going on?

25 DR. GRECO: Yes. This diagnostic
00134

1 molecular test is not therapy, okay? It
2 unfortunately isn't therapy, it diagnoses the
3 type of cancer you have. You're going to read
4 later how accurate it is, that's going to be
5 published. When you have pancreatic cancer,
6 biliary tract cancer, multiple other serious
7 advanced cancers where we have no effective
8 therapy for it, there's no site-directed
9 empiric therapy that works. That's the front
10 part of that curve, in my opinion.
11 Where the curves separate, and I do
12 believe they separate, is in patients with
13 breast cancer. We had one testimonial here,
14 but I could give you 50 testimonials. Breast
15 cancer patients, the median survival with this
16 molecular test during this study was 28 months.
17 In ovarian cancer with this test in that study,
18 it was over 35 months.
19 So again, can I prove that empiric
20 therapy, which is the therapy given to these
21 patients, shotgun therapy in those very

22 patients, they wouldn't have done just the
23 same, getting back to the randomized controlled
24 design? No, I can't prove it, but if a
25 diagnostic test will give me the diagnosis

00135

1 confidently of the type of cancer I have, why
2 would I want to use shotgun therapy, regardless
3 of this study?
4 So they're two different questions.
5 Is the test accurate in diagnosing the
6 patient's cancer? Presuming that it is, and
7 the biology is similar to a known primary
8 cancer where you know what the primary is, then
9 you want to give treatment that's effective for
10 that variety of cancer. That's fundamental,
11 I'm not sure that you have to prove that, but I
12 go along with the fact that I would rather have
13 a randomized controlled study. It's just never
14 going to happen, so you're going to have to be
15 left with less evidence. We live in medicine
16 with less evidence. I'd have to go home every
17 day and never treat one of my patients. Maybe
18 I could treat four of them if I had to depend
19 only on randomized controlled data. I want it,
20 but we can't find it. It can't be done in this
21 group of patients in the United States, in my
22 opinion.

23 DR. REDBERG: Dr. Nowak.

24 DR. NOWAK: This is a general
25 question. Is there consensus on what is

00136

1 considered, how you define tumor of origin? I
2 mean, the different tests, I know the Pathworks
3 test gives you a similarity score and that
4 somehow translates into a probability. I don't
5 know about the other tests, whether they give
6 you probabilities or not.
7 Mr. Berins, when we heard his story,
8 he said his tumor was sent to Pathworks and
9 that had a 50 percent probability that it was
10 breast cancer. That's a flip of the coin. Do
11 we treat him for breast cancer? Maybe it is,
12 maybe it isn't. There was a 30 percent, I
13 think it was, you know, intestinal, but 30
14 percent is still a pretty high probability that
15 it's intestinal. So is 50 percent sufficient
16 to say this is the tumor of origin and we're
17 going to treat it as this, or do you have to
18 reach 70 percent probability, or 80 percent? I
19 don't know, but that's my question, how do you
20 define that probability, and I suspect it has
21 to be answered specifically for each different
22 type of test.
23 The second issue that I noticed,

24 Mr. Berins pointed out the tumor also went to
25 the microRNA assay, and it came back as 90
00137

1 percent probability that it's breast. So
2 there's one assay that tells us it's 50
3 percent, another one that says 90 percent.
4 Doesn't that bother anybody? I mean, one of
5 these is right or better, why do we have that
6 difference, and which test should we use?
7 Maybe CMS should only pay for the best test,
8 and I don't know which one that is, but we're
9 calling all of these genetic tests for tumor of
10 origin of cancers, but they're really looking
11 at different biomarkers. They're proprietary
12 algorithms and they give you an index, and in
13 that sense it's a black box. They may all work
14 very well, but it's very difficult to evaluate
15 them, it's very difficult to compare them, and
16 I'll stop at that for now.

17 MR. BERINS: May I clarify my remarks?
18 The Rosetta Genomics test was not a 90 percent
19 probability of breast cancer, but they believed
20 for that test that it was a 90 percent
21 certainty I had breast cancer. As a result of
22 that, coupled with the bioTheranostics test of
23 six months earlier, my oncologist at M.D.
24 Anderson said that we can just treat it as a
25 breast cancer, and starting in the middle of

00138

1 January instead of the shotgun approach
2 previously, she prescribed an oral breast
3 cancer chemo, Xeloda, which I didn't
4 particularly care for after a while. But the
5 proof is in the pudding, so to speak, because
6 two weeks ago today I was at M.D. Anderson and
7 the PET scan, for the first time in a year,
8 didn't light up.

9 Now, I realize the insidious nature of
10 cancer and I realize that perhaps the next time
11 I go in July I'll get a metastatic cancer all
12 over my body, but at this point I feel happy
13 and more optimistic. Frankly, once I got the
14 Rosetta Genomics test, I felt a lot better, my
15 family felt a lot better, and it gave me hope
16 for the future, which so far has proved out,
17 and I hope it continues like that. And I think
18 that frankly every American, whether Medicare
19 or not, should have the opportunity for these
20 tests so that they can not only physically be
21 treated appropriately, but I think emotionally
22 react to it. Having the diagnosis of cancer
23 was not the high point of my life, and the two
24 tests, first at bioTheranostics and then the
25 Rosetta Genomics test was a positive

00139

1 enforcement on my system of what could be done
2 and hopefully I could survive this thing, at
3 least for quite a few more years.
4 DR. NOWAK: Mr. Berins, I appreciated
5 your comments, and I'm very happy you took the
6 time to talk to us. I didn't mean to imply
7 that these tests are not useful and I'm very
8 happy that you got a good result, and I hope
9 that continues. In truth, I think these tests
10 are useful. I think our purpose here is to
11 define how they can be best used and how to
12 make them better, and my comments were intended
13 to elicit some of those things.

14 MR. BERINS: Thank you.

15 DR. REDBERG: We certainly appreciate
16 your time. I think the issue is really how
17 much we can learn from one person's case,
18 because it's very difficult without scientific
19 data, and that's why we do randomized
20 controlled trials, because we have to have a
21 group of people who, you know, all have the
22 same issue, and then test the intervention and
23 see whether the group that got it gets better,
24 because otherwise we don't know whether you
25 would have, and I don't mean you personally, I

00140

1 mean the group. That's why we do randomized
2 controlled trials, to see if you get better
3 with the intervention or without the
4 intervention, the only way to test that is in a
5 scientific study.
6 And I can tell you that there are many
7 many many instances in medicine where something
8 we believed in turned out not to be true,
9 because then we did a study and we learned that
10 the medication we thought was beneficial, I
11 will take it out of -- in cardiology we used
12 lidocaine for MI when I was a resident and
13 fellow, and for many many years. Finally they
14 did studies and found we were actually hurting
15 people and causing damage. And so while --
16 that's why we try to look at the evidence and
17 we have the technology assessments, but we
18 really are trying to evaluate the scientific
19 evidence so we can offer the best treatments to
20 the most people. Did you want to make a
21 comment, and then I have Dr. Stecker.

22 DR. SCHNABEL: Just a couple comments
23 to Dr. Nowak regarding the results reporting on
24 each of the classifiers. I think the important
25 takeaway is not basically that each of the

00141

1 tests have their own output, it's really rooted

2 in the algorithms and the reference databases
3 associated with the genetic classifier. These
4 are relative measures, and so I think the
5 important takeaway is that the tests were
6 consistent, and in the meta-analysis that Dr.
7 Whitehead and her colleagues have summarized,
8 the key takeaway is that the accuracy is quite
9 consistent across the classifiers.

10 The other point I'll make is that for
11 the Rosetta tests and the bioTheranostics
12 tests, the biomarkers are published. The gene
13 characteristics have been published, so they're
14 not proprietary from that standpoint, and
15 really what they are are master regulatory
16 genes that are involved in many lineage
17 determinations.

18 DR. STECKER: Hi, Eric Stecker again.
19 This is kind of a clarifying question for
20 Dr. Conley with regard to the control issue,
21 which I think is very important to figure out
22 here. The foundation of our knowledge in
23 modern medicine is based upon a good control
24 group. I agree that we need to often, I mean
25 for the optimum effect in probably most

00142

1 patients, we need to either extrapolate beyond
2 or interpolate within the available direct
3 evidence, but it's still based upon well
4 controlled studies, whether directly or
5 somewhat extrapolated.

6 I was amazed to hear two people
7 involved in oncology research say that historic
8 controls, which is really not even a control
9 group barely, it's called a control group but
10 the lowest quality of control group, but
11 historic controls are the best available for
12 this kind of research for cooperative oncology
13 research. And what that implies to me is that,
14 you know, there is a standard of care, every
15 clinical practice, or every discipline has a
16 standard of care, in other words, what do the
17 vast majority of patients receive for care.
18 The vast majority of patients with cancer of
19 unknown primary are not getting tumor-directed
20 therapy, is that not correct across the
21 country? Therefore, if what you two are saying
22 is correct, the state of oncology cooperative
23 research is such that you cannot test a new
24 therapy against the standard of care, and if
25 that's true, that's an issue.

00143

1 Dr. Conley, is my assessment correct,
2 or would you clarify it?

3 DR. CONLEY: Well, it's a little broad

4 when you say the state of cooperative oncology.
5 We're talking about cancer of unknown primaries
6 here --

7 DR. STECKER: I mean cooperative
8 oncology groups.

9 DR. CONLEY: And not all cooperative
10 oncology groups. There was cooperative
11 oncology groups that did this study on the
12 breast cancer and bone marrow transplants,
13 finally.

14 DR. STECKER: What's different about
15 the cancer of unknown primaries?

16 DR. CONLEY: Yes, this is cancer of
17 unknown primaries. Not all breast cancers, you
18 know, there are many different types of cancer
19 involved in that, and the reason it's cancer of
20 unknown primaries is because we can't tell what
21 it is. And so, my statement meant to me that
22 this is promising data, and I would love to
23 have more definitive data, but it will take a
24 lot of thinking to figure out a clinical trial
25 that would take account even of the variability

00144

1 in this class of patients.
2 I think it could be done, but it's
3 going to take some thinking, and may take some
4 novel trial designs such as being, such as are
5 being designed for some of the targeted therapy
6 trials now. It could be done. It's a
7 question, you know, if you are a researcher and
8 you put a grant in on one of these things, the
9 likelihood it will be funded by the NIH this
10 year is fairly low, I think, unless it's
11 brilliant, but you know, we hope for the
12 brilliance.

13 DR. STECKER: So the issue you brought
14 up about the control group is one very specific
15 to the cancer of unknown primaries, and you
16 feel that it's possible but very difficult.

17 DR. CONLEY: Yes.

18 DR. GRECO: I'm sorry if I was taken
19 out of context. I believe in concurrent
20 controls of randomized Phase III trials, of
21 course I do, but Phase II prospective trials
22 aren't useless, you just have to know the
23 limitations, and the circumstances.
24 This is not one disease, this
25 represents probably 50 or 60 different cancers.

00145

1 It's heterogeneous, we don't know which cancers
2 are which. Plus it's relatively rare, at least
3 it's rare that doctors don't want to treat them
4 as one cancer or another, that's just the way
5 it is. So it's very very difficult to do these

6 studies. The cooperative groups in the United
7 States have not done one trial since 1979 with
8 these patients, not one trial, that's the
9 public cooperative groups, so this is a very
10 difficult area.

11 But again, I want to emphasize, I have
12 to say this. Two questions. First, do we have
13 a diagnostic test for what type of cancer it
14 is? Second, if we treat the patients that way,
15 will they do better. Two different questions,
16 don't mix them up. Not all data that's from
17 Phase II trials where we treat patients will
18 harm once we have the Phase III trials.
19 Sometimes it's the other way around, patients
20 are benefitting, and then we prove that with a
21 randomized trial.

22 DR. REDBERG: But we still need to do
23 the trial.

24 DR. GRECO: We want to do the trial,
25 but that doesn't mean the patients aren't

00146

1 benefitting. The way you described it, it
2 always turns out that they're not benefitting.
3 It can work the other way around.

4 DR. REDBERG: Absolutely, but you
5 don't know, and you can't assume. Okay,
6 Dr. Sartor.

7 DR. SARTOR: I think I might like to
8 make a little bit of a statement, and I would
9 like to see if this would be an accurate
10 statement. One of the true problems with this
11 disease subset is the incredible heterogeneity
12 that by the very definition of cancer of
13 unknown primary we do have, and I'm looking at
14 one particular manuscript, 20 or 25 different
15 cancers. Ideally you would have to design a
16 trial that would incorporate an appropriate
17 control group for every single subset that is
18 identified against the control, and I think
19 that's the practicality that makes it so
20 difficult.

21 And I will say as someone who treats a
22 number of patients with kidney cancer, that
23 giving them a cytotoxic regimen if I knew they
24 had kidney cancer, I would consider to be
25 unethical because of the proof that targeted

00147

1 therapy is effective in that subset, whereas
2 the subset of patients who receive a
3 traditional toxic chemotherapy, we just know it
4 doesn't work.

5 But the problem here, the real problem
6 here is the huge heterogeneity, where I believe
7 that there is a subset of people where this

8 test could probably make a fairly large
9 difference. You cited some breast and then you
10 cited colon. Yet unfortunately, when you
11 actually look at the numbers of the breast and
12 colon patients, it was 12 patients with the
13 breast and 28 patients with colorectal, so we
14 end up with a very small subset.
15 So I think the real problem here in
16 the lack of randomized trials relates to the
17 heterogeneity of the population under study,
18 and the extreme difficulty in doing the proper
19 study. Did I get that right? Okay.
20 DR. REDBERG: Dr. Howard.
21 DR. HOWARD: Thank you. On the
22 subject of trials, I actually do not understand
23 that point. It seems like we're not testing
24 the treatment here, we're testing information.
25 So you have one group of CUP patients where you
00148

1 don't get any information from the genetic
2 test, you have another group of patients who
3 you give their physicians that information and
4 they can do with it what they wish, they can
5 use it how they want. I guess I don't
6 understand why, unless genetic testing is
7 currently the standard of care, why would any
8 physician be reluctant to enroll patients in
9 that trial?

10 DR. GRECO: Again -- do you see
11 patients yourself?

12 DR. HOWARD: No, sir.

13 DR. GRECO: And I understand what
14 you're saying, but there are a number of
15 reasons. Of course we're all humans, doctors
16 are humans, the patients are thinking about
17 themselves, and we, in Europe or some places in
18 the world, we might be able to do the type of
19 study that would be ideal in this setting, but
20 not in the United States. Patients that are
21 thought to have renal cancer, they'd have to go
22 on the empiric treatment or the renal cancer
23 therapy randomly allocated, do you understand,
24 the doctor can't do what he wants or she wants.
25 So if they have renal cancer and they happen to
00149

1 get the flip of the coin to get the toxic
2 chemotherapy you have to give it to them,
3 that's part of the study.

4 DR. HOWARD: I still don't understand,
5 but if I could ask how, do most patients -- I
6 guess I'm having trouble reconciling the idea
7 that all patients, or almost all patients with
8 CUP receive empiric therapy was the data that,
9 I believe it was Dr. Wassman, that you put up.

10 You showed a slide with two bar graphs
11 comparing genetic tests with, I believe,
12 standard pathologic examination, and it looked
13 like standard pathologic examination could
14 provide information that would allow patients
15 to receive cancer-directed, or tumor-directed
16 therapy in a lot of cases. Am I reading your
17 results incorrectly?

18 DR. WASSMAN: No, but understand that
19 once standard immunohistochemistry, which
20 worked in 69 percent of the cases, makes the
21 diagnosis, these are not CUPS, these are tumors
22 for whatever category they go into. And all
23 I'm saying is that when you use molecular
24 tests, 10 more percent of those difficult to
25 diagnose cases are categorized into a specific

00150

1 category, and those patients are no longer
2 considered to have CUP, or if they ever were,
3 but, you know, go into that basket of colon
4 cancer, ovarian cancer, and get treated, you
5 know, on those other protocols.

6 DR. REDBERG: Pamela Massey, then Dr.
7 Stecker, then Dr. Sedrakyan.

8 MS. MASSEY: I guess this is a generic
9 question, but it's related to this do no harms.
10 We know the problem in terms of the
11 heterogeneity of the group, and so if we
12 address the question the other way, do we have
13 any studies that show that when we have a
14 better test that tells us what the tumor is,
15 that we're doing harm to patients by using that
16 test, is there anything that has looked at it
17 that way?

18 DR. GRECO: That's why it's redundant.
19 This is not like bone marrow transplantation in
20 breast cancer where you have a mortality rate
21 of 10 or 15 percent and you think you're
22 helping patients. All of these patients get
23 cytotoxic chemotherapy if they're healthy
24 enough because that's the standard therapy. So
25 when you use a test, all you're doing is

00151

1 deciding if you can, this test, which specific
2 treatment you would use based on their likely
3 diagnosis rather than shotgun therapy. So they
4 all get their chemotherapy, so the harm of
5 doing this, there's no harm in it, you see what
6 I mean, so it's different.

7 DR. REDBERG: Dr. Whitehead or Dr.
8 Meleth, did you want to respond?

9 DR. MELETH: There are no studies that
10 have specifically looked at harm, so most of
11 the outcomes that have looked at survival.

12 DR. RIZZO: Technically speaking,
13 assuming everything works as it should be, then
14 if this assigns the right diagnosis, then
15 you're aligning the harm by way of toxicity of
16 treatment with the appropriate tumor type in
17 terms of that, if you want to think about the
18 harm. At least then you would know, and I
19 think you guys would agree, if that's the case,
20 then the toxicities experienced by the patient
21 are at least more appropriate to the type of
22 malignancy, and the harm that they would
23 otherwise experience when treated along that
24 pathway, and maybe you are avoiding harm in a
25 few malignancies where there is more directed

00152

1 therapy that is less toxic than a general
2 regimen that's customized, if you will, to a
3 specific tumor type.

4 DR. WHITEHEAD: If I may clarify
5 something, the charge of our technology
6 assessment was to look at the test and to
7 evaluate the test, so we did not specifically
8 look for articles that compared site-specific
9 treatment to empirical treatment.

10 MS. MASSEY: Our question is about
11 improving treatment outcomes, and I didn't know
12 if any of those studies addressed harm.

13 DR. WHITEHEAD: None of them mentioned
14 even the possibility that there might be harm,
15 there was no data on that at all.

16 DR. REDBERG: Dr. Sedrakyan, then Dr.
17 Stecker, then Dr. Wong, then Dr. Marciniak, and
18 then Dr. Beyer.

19 DR. SEDRAKYAN: I think this is really
20 an important discussion to reflect on,
21 particularly in the context of sensitivity and
22 specificity of the tests that you presented,
23 and it's an important part of the technology
24 assessment, so it would be important for us to
25 get more clarity around the harms. If a cancer

00153

1 is identified primary site, do you think in the
2 literature, and this is a question also for
3 clinicians, do you think there would be a more
4 aggressive therapy path because now you know
5 what the primary site is? And is there any
6 documentation in the papers whether the
7 chemotherapy regimen or radiotherapy regimen,
8 or whatever the treatment regimen, is a lot
9 more aggressive, which potentially can lead to
10 more harms? Did you look at that question in
11 the literature?

12 DR. MELETH: No, we didn't
13 specifically look at that question. One of the

14 things that I would like to point out is that
15 there is also literature that says that the
16 group of patients that, in quotes, are
17 benefitted by this test will be very small.
18 That is another reason, because the
19 site-specific therapy would be applicable to a
20 small number of patients who were diagnosed
21 with CUPS. But there were no studies that
22 actually identified harms and had any good sort
23 of quality of life data that we looked at.
24 And the, another thing that might be
25 important to point out is that as somebody

00154

1 said, this is a probability scale that is
2 given, so there isn't a typical sensitivity
3 specificity associated with these tests, so the
4 total probability adds up to one.

5 DR. SEDRAKYAN: Okay.

6 DR. REDBERG: Dr. Stecker.

7 DR. WHITEHEAD: There was only one
8 study that I can recall that actually even
9 looked at what the chemotherapy regime was.

10 DR. REDBERG: Dr. Stecker.

11 DR. STECKER: Dr. Greco, sorry to give
12 you a workout here getting up and down to the
13 mic. I just wanted to follow up on what one of
14 my colleagues asked about with regard to what
15 are we actually testing, is it wondering what
16 is the effectiveness of a diagnostic test to
17 tailor therapy for cancer of unknown origin?
18 And so why is it not practical to do,
19 for instance, outside of financial and federal
20 sequester reasons maybe, why is it not
21 practical to do a randomized trial of testing a
22 patient -- my colleague posed test everybody
23 and then randomize to tailor therapy or not. I
24 understand that neither patients or physicians
25 are going to be comfortable having had a test

00155

1 done and not having that information, but why
2 would it not be practical to randomize to
3 either do this molecular testing or do standard
4 of care and then see, for molecular testing --
5 you know, do the tailored therapy for the tumor
6 of origin, and see what the outcome is compared
7 to standard of care for cancer of unknown
8 origin, why would that not be practical?

9 DR. GRECO: You have to have adequate
10 informed consent for patients, and you could do
11 two concurrent studies, you could do empiric
12 therapy for unknown primary cancer patients
13 like we've been doing for the last 20 years,
14 and we could do the study like we did. But
15 remember, even though they're not randomized

16 controls, they're concurrent controls, they
17 still could be criticized. It's not a
18 randomized controlled trial, it's a Phase II
19 trial with concurrent controls done in another
20 Phase II trial, it still has limitations.
21 DR. STECKER: But why not randomize,
22 why can't you randomize?
23 DR. GRECO: You have to have an
24 informed consent from the patients.
25 DR. STECKER: We do that all the time.

00156

1 Why in randomized trials --
2 DR. GRECO: The patients have to sign
3 informed consent for the randomization process
4 and the doctors have to explain it to them.
5 DR. STECKER: Oh, I'm sorry. You're
6 saying that the patients wouldn't even want to
7 stick around in that trial, they want to know
8 they're getting some experimental therapy
9 and --
10 DR. GRECO: The experimental therapy
11 is based on the diagnosis for the actual
12 cancer. The standard therapy is shotgun
13 therapy.
14 DR. STECKER: Right.
15 DR. GRECO: So they have to agree to
16 either get one or the other regardless of
17 whether their diagnosis is based on the
18 molecular test.
19 DR. STECKER: Is it correct for me to
20 interpret that you're saying that patients with
21 cancer of unknown origin are very unlikely to
22 want to participate in any randomized study,
23 they would either want standard therapy or, if
24 a study is available, get on the study, no
25 randomization.

00157

1 DR. GRECO: In this country, it
2 appears that way.
3 DR. REDBERG: Dr. Wong.
4 DR. WONG: Sandra Wong, surgical
5 oncologist at the University of Michigan. I
6 want to take this conversation away from trials
7 for just a second and focus on a point of
8 clarification for the panel, and I think this
9 is specific to comments that were made by
10 Dr. Neal and Dr. Weiss.
11 What I'd be interested in and I think
12 what might inform the panel here is very
13 specifically, Dr. Weiss talked not necessarily
14 about tumors of unknown origin but tumors of
15 difficult to characterize origin. So I very
16 specifically want to know the value added of
17 the genetic test above and beyond standard

18 immunohistochemistry. In my practice what I
19 see a lot are patients who have gone through
20 standard anatomic pathology and gone through
21 multiple layers of immunohistochemistry, and
22 then had genetic tests, and sometimes the
23 pathologist will comment to me that the genetic
24 test confirmed the immunohistochemistry.
25 That almost seems like the genetic

00158

1 test may not have been necessary, so I wonder
2 if you could comment on some of the data that
3 were presented here and how much of that were
4 tumors that could have been characterized
5 without the genetic test but the genetic test
6 was done anyway. I think that's an important
7 distinction, and I think that Dr. Weiss almost
8 got to it by saying that these were tumors of
9 hard to characterize origin, meaning that you
10 kind of had a suspicion to begin with, so a
11 pretest probability type of question.

12 DR. WEISS: So, all I can do is tell
13 you the data we have. Using poorly
14 differentiated tumors, where looking at it you
15 didn't have a good idea where the primary was,
16 using standard immunohistochemistry we got
17 about 70 percent of the time, using molecular
18 tests we got another 10 percent out of that. I
19 know in my daily practice, I have cases where
20 I'm able to do it on five, eight
21 immunohistochemical stains and feel pretty
22 comfortable with the diagnosis. I also know I
23 have cases in my daily practice where I do 10
24 or 12 stains and I still don't have a good idea
25 of what the diagnosis really is, and I think,

00159

1 forget about the clinical follow-up or
2 whatever, I think those cases could benefit
3 from molecular testing.
4 So I think there's, regardless of
5 whether patients show differences on randomized
6 trial, I think better diagnoses can be given
7 about 10 percent of the time on poorly
8 differentiated tumors that come every day to a
9 pathology lab.

10 DR. WONG: Let me ask the difficult
11 question then. If you get the tests back and
12 then you're able to better characterize it with
13 immunohistochemistry, in other words, would you
14 be able to get to that anyway if the battery of
15 IHC were done de novo.

16 DR. WEISS: Let's say I do a battery
17 of IHC and it comes out, I think there's a
18 suggestion of pancreatic, and then a molecular
19 test is done and it says 80 percent pancreatic.

20 Does this help the clinician? I think it does.
21 I'm not answering your question.
22 DR. WONG: No, you are, but I, maybe
23 Dr. Neal can comment, because I think she
24 presented a slightly different point. And I
25 would be curious just to inform the panel,
00160

1 because I see this as slightly conflicting in
2 terms of the order that the test was done in
3 and how that influences clinical
4 decision-making.
5 DR. NEAL: Dr. Neal, and I do share
6 your concerns and think this is an excellent
7 question. I agree that there are many cases
8 that immunohistochemistry does point to a very
9 clear tumor of origin. However, there are a
10 subgroup that we really don't have the
11 techniques at this time, and it's evolving,
12 we're getting more powerful and specific with
13 immunohistochemical tools and so it is
14 evolving. But what is of concern, especially
15 to me, is those cases where the molecular test
16 is ordered before the immunohistochemical tests
17 are even finalized and so the cost issue is
18 certainly significant, as well as, I'm not
19 sure, at least a good portion of these tests
20 have no clinical difference, so that the
21 immunohistochemistry would have told the
22 answer.
23 Again, there is certainly a subset
24 where at this time immunohistochemistry does
25 not address it, and I believe the molecular

00161
1 test does have merit. Who's going to be able
2 to develop those algorithms so that we know
3 when immunohistochemistry is at its softest,
4 what they can at this time, and then the
5 potential for the molecular test, I suggest the
6 pathologists might and should be involved in
7 these decisions.

8 DR. REDBERG: Dr. Marciniak, we'll
9 hear your question, then Dr. Beyer, and then
10 we're going to break for lunch.

11 DR. MARCINIAK: The question I have
12 is, you know, are we shooting for where the
13 puck is today, are we shooting for where we
14 think the puck is going tomorrow. My first
15 question is for the groups that put together
16 the TARs, so Drs. Whitehead and Uhlig, and then
17 I want to hear from Rosetta and bioTheranostics
18 as well about this.
19 So we had some good positive
20 information, we talked about the clinical trial
21 this morning, Dr. Wong has helped clarify this

22 as well. Where do you see the evidence moving
23 in terms of the outcomes? You've got a test,
24 you have a physician, you have a patient, you
25 give a test, does it lead to a better outcome?

00162

1 Do you see the literature evolving there?
2 DR. WHITEHEAD: At the moment I think
3 that there is so little literature on whether
4 or not the test makes a difference in the final
5 outcome that I would not want to say which way
6 I think it would go. That's why most of the
7 studies were rated insufficient evidence. And
8 I will say I'm not a clinician, I'm certainly
9 not an oncologist. I think to me, it looks
10 like, you know, they're pretty good at telling
11 you what the diagnosis is, at least based on
12 the evidence we have now. Whether or not they
13 do, you know, enough of a better job of that to
14 make a difference, I don't -- there's very
15 little reporting. There's a couple things in
16 the literature on the difference in cost, which
17 was not part of our technology assessment, or
18 the timing of the diagnosis, which would I
19 think impact the clinical utility question as
20 well, and there's just not enough data in the
21 literature for me to draw a conclusion on.

22 DR. REDBERG: So at this time
23 insufficient evidence on outcomes and no clear
24 evidence of where we're going. Dr. Uhlig, did
25 you have a comment?

00163

1 DR. UHLIG: Well, for FISH I think
2 it's an emerging technology and I can see that
3 it would have a role, as has been shown in the
4 beautiful pyramid, in a lot of people with
5 abnormal cytology tests. But this is, as you
6 said, it's an evolving field, and the other
7 diagnostic tests are evolving as well. You
8 know, the HPV test is evolving, and that will
9 level the playing field again, so you will
10 basically have to go back in and reestablish
11 the value added from something like FISH test.
12 So, I think it's very challenging to assess
13 evidence in diagnostic tests, and this is in an
14 area of rapid technological evolution.

15 DR. MARCINIAK: Looking at the
16 oncologists on the panel in an effort to sort
17 of help clarify, do the tests help you practice
18 medicine better, do they give you insight in
19 your patient population that you wouldn't have
20 otherwise to allow you to address this therapy?

21 DR. REDBERG: Do you want to answer
22 that question, Dr. Sartor?

23 DR. SARTOR: So, you know, I'm going

24 to speak as an individual because that's all I
25 can ask, and I would say that it's helpful for
00164

1 me to know the site of origin in some cases but
2 not others, in some cases it can make a
3 dramatic difference. And I've cited the renal
4 cell, for instance, whose therapeutic
5 armamentarium with FDA-approved drugs is very
6 very sustained, compared to the cytotoxic
7 therapy, which is known not to work.
8 Unfortunately, I think for many
9 patients, those potentially who may have
10 pancreatic or biliary cancer, we just don't
11 have very good treatments, and so whether or
12 not I'd use the empiric regimen or a
13 pancreatic-directed regimen, it probably
14 doesn't make a lot of difference.
15 So in summary, I think for some
16 patients that it could make a big difference,
17 but for many it makes no difference. That's
18 just a personal opinion.

19 DR. REDBERG: Dr. Beyer.

20 DR. BEYER: I want to somewhat echo
21 that. It's always nice to know and I want to
22 know, but whether it makes a difference, I
23 think is actually one of the questions we're
24 here to answer today. And oftentimes, you
25 know, it's hard to be convinced that it makes a
00165

1 huge difference, which brings me to the
2 question that I wanted to ask, and I'll kind of
3 throw this out, and I'm not sure if you want to
4 take a stab at it, but it kind of relates to
5 where the puck is going.
6 Are we looking at the wrong thing? Is
7 it interesting to identify where the cancer
8 came from or is it more interesting to identify
9 if that patient has specific molecular genomic
10 targets for which we have specific therapies?
11 Here we're identifying they have, you know,
12 biliary cancer, we don't have a targeted
13 therapy for that. Would it make more sense to
14 be running a battery of tests that will tell
15 us, does the patient have A, B, C or D, where
16 we have specific targeted agents?

17 DR. WEISS: Right now the answer is
18 both, and until we get better with the
19 molecular pathway business, the organ of origin
20 is going to be more important in the short run.
21 In the long run, you know, you can easily
22 answer that question, that pathways are
23 ultimately going to be more important, but
24 right now I think the answer is both.

25 DR. REDBERG: I told Art he could have

00166

1 the last word before lunch, but we will be back
2 here at one o'clock and you can make a comment
3 then.

4 DR. SEDRAKYAN: I really wanted to
5 kind of reflect on this question of potential
6 harm again, I think some of you wanted to talk
7 about this issue, whether there is a potential
8 for more harm if this tissue of origin is
9 identified and there's more aggressive therapy.
10 And a continuation of that question,
11 if you have this tool, an oncologist has this
12 tool, what's the potential for it to be used
13 inappropriately, which is now suddenly, you
14 become uncertain even when you identify the
15 tissue of origin, you start using this as
16 another confirmation and confirmatory tool, so
17 you kind of spread this technology
18 inappropriately, and what's the potential for
19 that? We've got a lot of uncertainties that
20 you're dealing with in oncology.

21 DR. GRECO: I think there's a
22 potential for harm if you use empiric therapy
23 in patients where it doesn't work. For
24 instance in pancreatic cancer, Tetracel
25 carboplatin doesn't work, so you don't use it,

00167

1 so if you have the diagnosis you avoid harm. I
2 could give many other examples, so you actually
3 are avoiding harm by knowing the diagnosis.
4 The other issue I just briefly want to
5 mention is that oncologists use
6 immunohistochemical findings from our pathology
7 colleagues to treat patients. There's never
8 been a randomized trial showing that that works
9 in unknown primary cancer, yet we all use it,
10 so there's something else going on here. I
11 don't know what it is, but immunohistochemistry
12 has never been subject to a randomized trial
13 for unknown primary cancer, yet we use it every
14 day.

15 DR. SEDRAKYAN: Other comments about
16 this?

17 DR. WASSMAN: I'm Dr. Wassman, from
18 Rosetta Genomics. The diagnostic incremental
19 information as demonstrated here today improves
20 our ability to diagnose these cancers, to sort
21 out the heterogeneity. The sorting of
22 heterogeneity was foundational to our starting
23 on the genome project, we had a group of
24 disorders that all looked alike, and our
25 ability to sort out heterogeneity of disease is

00168

1 what leads to diagnosis, which leads to correct

2 target therapy. That's a specific targeted
3 therapy that is based on a mutation, but a
4 targeted therapy is sometimes based on the
5 tissue diagnosis.
6 I mean, breast cancer alone is not
7 necessarily in the targeted therapy, but 10
8 percent of these CUP patients when studied
9 molecularly by either test roughly come out
10 with breast cancer. About one percent of them
11 are male patients like Mr. Berins with breast
12 cancer where it's not being suspected. The
13 difference in therapeutic response of those
14 patients is dramatic.

15 There's not been a controlled study
16 since this is a small subset of a small
17 population, again, but there is a traumatic
18 response, and oncologists know that if you
19 treat breast cancer as breast cancer, they
20 respond to that therapy.

21 DR. REDBERG: Thank you, and I would
22 comment that the real value of a test,
23 obviously, is in adding incremental information
24 that we would not have gotten without that test
25 or without clinical assessment, that leads to a

00169

1 change in management not on its own, but a
2 change in management that leads to better
3 patient outcomes, and that's really the bar
4 that we need to meet in order to get that
5 therapy to our patients.

6 We are now going to break for lunch
7 and we are returning at one p.m. and will
8 welcome you back, and continue with discussion
9 and questions.

10 (Luncheon recess.)

11 DR. REDBERG: I want to welcome
12 everyone back from lunch, and it looks like a
13 beautiful day outside. So, we will start again
14 our panel discussion, and right now, this is
15 questions from the panelists to the presenters,
16 and I would like to, it's on the program that
17 we will have open panel discussions and kind of
18 discuss the voting questions, but it would be,
19 I think helpful, to keep in mind the voting
20 questions and kind of think about any questions
21 you have starting now that you need help or
22 clarification, or want to state opinions about
23 your own views on the voting questions.
24 And remember, we have three voting
25 questions and three discussion questions, and

00170

1 then after we finish this discussion, we will
2 formally vote on the voting questions.
3 And so I think, Dr. Gutman, did you

4 want to start out?
5 DR. GUTMAN: Yeah. I'm very struck by
6 the fact that there's actually no analytical or
7 clinical proof here, and I thought Dr. Wong
8 shot the arrow right in the bulls eye, because
9 what we're being asked here clinically is
10 whether, how well you detect when you're
11 dealing with tumors of known origin that might
12 have been hard to define, rather than, the only
13 person who actually knows the relationship of
14 tumors of unknown origin is actually God.
15 So the question I have to ask is
16 whether anybody actually paid any attention to
17 whether the test speeded up the route to the
18 tumor of known origin, if it somehow
19 facilitated getting there faster, better,
20 cheaper or wiser, or was standardized in some
21 way. Otherwise, it seems to me that the
22 clinical validity, I realize in the tech
23 assessment they talk about a moderate constant
24 to extrapolate, I'm not sure I'd be that
25 generous, I'd say you don't know how it came

00171

1 with 80 or 85 percent concordance with known
2 tumors, so if you asked about an unknown tumor,
3 would it still be 80 or 85 percent? So it
4 seems to me if you have to back-load the whole
5 study, it makes the clinical utility piece even
6 more important than the panelist suggested.
7 And it makes, it strikes me that just
8 because something is hard to do, it doesn't
9 mean it shouldn't be done, that in fact you
10 have to either believe in evidence-based
11 medicine or not, and if you're extrapolating
12 across a chain, you'd have to have some real
13 output information or you have to be able to
14 create a bridge, and I don't know how you
15 create a bridge if you don't know the clinical
16 validity of the test.

17 DR. REDBERG: That is an important
18 point. What I took is that there's a lot of
19 uncertainty about the diagnosis and the
20 treatment and the prognosis.

21 DR. GUTMAN: I'm just casting my vote
22 in favor of those who want a little bit more on
23 the clinical validity, and the question
24 actually is for the tech assessment group, were
25 they able to look at faster or standardized, or

00172

1 better arrival at the tumors of unknown origin?
2 It seems to me that the best you're
3 able to do here is make an association of the
4 accuracy in relationship to tumors of known
5 origin, tissues of origin that are known, and

6 I'm just asking, was there any attention paid
7 to whether this test might actually aid you in
8 reaching that decision better, faster, more
9 standardized, in a cheaper and more intelligent
10 way, because that would be a value if the test
11 would do that.

12 DR. MELETH: This is Sreelatha Meleth.

13 No. What we did assess was whether the tests
14 accurately predicted tumors of known origin,
15 the time to predict tumors of known origin
16 versus immunohistochemistry or other methods
17 was not a focus, was not one of the questions
18 we looked at, and from my memory of the papers,
19 there isn't a lot of information in the papers
20 that we looked at that addressed that.

21 DR. GUTMAN: I'm just addressing that
22 there would be real value to the test if it
23 somehow expedited that.

24 DR. MELETH: Yeah.

25 DR. WHITEHEAD: If I could comment,
00173

1 there were a few papers that looked at, you
2 know, in cases that had been diagnosed as CUPS,
3 you know, and a genetic, or one of these
4 molecular tests were done, and they later
5 diagnosed, found the primary site or they had
6 those cases and they retrospectively went back
7 and tested them molecularly that provided, then
8 looked at them and saw how accurate were those
9 predictions, and that was shown on the slide
10 about diagnosis.

11 It ran from 48 to 88 percent. My
12 memory is that sort of, they were clustering
13 around 60 to 65, but there aren't a lot, and so
14 the strength of evidence there was rated as low
15 based on those studies.

16 DR. REDBERG: Dr. Sanders, I think you
17 were next, and then Dr. Nowak.

18 DR. SANDERS: Sometimes with
19 diagnostic tests that are sort of new and not
20 entirely flushed out in an evidentiary sense,
21 there are appropriate use criteria, and it
22 strikes me that this might be an area where
23 they might be helpful, given that it sounds
24 like sometimes the genetic tests are used
25 before CUP, or before ISH, sometimes after,

00174

1 maybe sometimes concurrently. I mean, is there
2 any sort of algorithm or decision support tool
3 that you've gotten wind of that maybe somebody
4 is working on somewhere?

5 DR. WHITEHEAD: I saw nothing like
6 that in the articles we reviewed. In some
7 cases, the studies, you know, that tested --

8 well, in many cases they were testing bank
9 tissue specimens from databases, and so it
10 would have been an irrelevant point in those
11 studies. There were very few sort of
12 in-process clinical studies that were in the
13 literature at the time we were looking at them.
14 I think there have been a few published since.

15 DR. SANDERS: Was this something that
16 could be simulated or modeled?

17 DR. WHITEHEAD: Not based on the data
18 that was available at the time we reviewed it.

19 DR. REDBERG: I do think that's an
20 important point, not just for this test but for
21 new tests in general, and I guess genetic tests
22 are often in that category, is where do they
23 fit in in terms of what we already know,
24 because obviously we have a lot of tools
25 through clinical and other diagnostic tests

00175

1 that are currently available, so where does the
2 genetic test fit in, particularly if you're
3 talking about tests that might tell you
4 probabilities but not actual certainties, and,
5 you know, what is their role, and then how is
6 that information used, does it lead to a change
7 in management, and does that management lead to
8 better outcomes and less harms, so overall net
9 benefits.

10 DR. SANDERS: I'm not so sure that one
11 size fits all here. There may be some unknown
12 primaries where the result will be much more
13 helpful than others.

14 DR. REDBERG: But the question is will
15 we ever be able to identify those, and it seems
16 like we need to be doing studies in order to do
17 that.

18 Right now this is just panel
19 discussion, thank you. There's a lot of people
20 that are listening. Excuse me, but you do not
21 have the floor, thank you. Dr. Nowak.

22 DR. NOWAK: I think the previous
23 comments have been expressed, but I was
24 thinking, my understanding is that the usage of
25 these tests was not in lieu of

00176

1 immunohistochemistry, but would come into play
2 after immunohistochemistry played out and it
3 would augment that, and there's an issue about
4 how much immunohistochemistry you do before you
5 throw up your hands and say I just don't know
6 what this is. So if the comparison is, is this
7 faster, better, cheaper than
8 immunohistochemistry, I think that's a
9 different issue, but I didn't understand that

10 the usage of these tests would be that, I
11 thought it would come into play after you had
12 done the more routine kinds of things. And it
13 doesn't mean that they can't supplant
14 immunohistochemistry at some point in the
15 future, but I didn't think that is where they
16 are today.

17 DR. REDBERG: I think that seemed to
18 be one of the questions, we heard various
19 scenarios where sometimes they were used
20 instead of, sometimes they were used in
21 addition to, and it wasn't clear to me what you
22 do when the two tests give you different
23 answers.

24 DR. NOWAK: Well, you know, most
25 tumors, most cancers, 95 to 98 percent of them,

00177

1 the tumor of origin is obvious. We're only
2 talking about those that end up being called
3 cancer of unknown primaries, and those are the
4 ones that pathologists struggle with. But even
5 at that point, I would think that these tests
6 aren't entering into a total vacuum. We do
7 know something, someone has looked at the
8 tissue, there may be some immunostains that are
9 available that are informative, and there's a
10 pretest probability of what this might be.
11 You're not going to surmise that it
12 might be a prostate cancer in a woman. I mean,
13 you know, there are all kinds of things that
14 you already know clinically, you do know
15 something pathologically, you do know something
16 from the immunohistochemistry, so there's a
17 pretest probability, and what you're trying to
18 do is strengthen that probability by doing yet
19 another test.

20 And from the figures that were thrown
21 around, you know, immunohistochemistry on, I
22 don't know if this is just on cancers of
23 unknown primaries or in all of them, but Dr.
24 Weiss said that in 60 percent of the tumors
25 immunohistochemistry is sufficient to get the

00178

1 answers, and of the remaining 40 percent which
2 is subjected to this kind of additional
3 testing, you might get another 10 percent on
4 top of that 60. So 25 percent of the time on
5 cancers of unknown primary you're unable to get
6 an answer, if we interpret those numbers
7 loosely.

8 DR. BLEGEN: I may be asking the same
9 question just using different language, but as
10 I looked at the presentations I would say what
11 is the value added of this genetic test, or

12 either, and my sense was from the lack of
13 discussion, as well as presentation, that there
14 wasn't much added done for the FISH testing,
15 but there may be, and the question is how much
16 and is it worth it. If it's 10 or 20 percent,
17 that may be; if it isn't, then it probably
18 isn't, doesn't have any value added.

19 DR. REDBERG: So just to remind you,
20 there's actually two sets of things we're
21 looking at with regard to both tests, and
22 you're right, we haven't had as much discussion
23 about the FISH test and you may have questions
24 about that. But we're looking at both the
25 clinical validity, so how reliable are the test

00179

1 results for diagnosing the condition, and then
2 we're also looking at whether the evidence from
3 the tests, the genetic testing affects health
4 outcomes. So we have two separate questions to
5 look at for each of these two separate tests,
6 the clinical validity of the tests and then how
7 that test result affects outcomes. Dr. Nowak.

8 DR. NOWAK: Someone at lunch asked me
9 if pathologists are going to become obsolete,
10 and I suppose in the extreme you could think
11 that. First we take the tumor out, we send it
12 out for the test, it's just, you know, from
13 here to there, and why even look at the tissue.
14 But my answer was that if anything, this
15 affirms what pathologists have been doing, and
16 we look at tissue and we examine it grossly,
17 microscopically, and we assess whether it
18 reflects the tissue of origin. Does it still
19 look like breast, is it well differentiated,
20 moderately differentiated, poorly
21 differentiated, and we try to do that
22 histologically. And those pathologists who are
23 old enough will remember that we used to do
24 something called a Kreyberg stain, to tell
25 whether it was squamous differentiation or

00180

1 whether it was mucin production, to distinguish
2 squamous cell from adenocarcinomas of the lung
3 mostly.
4 We've gone beyond that, so in those
5 situations where we can no longer tell
6 histologically or histochemically, we've gone
7 to immunohistochemistry where we're looking at
8 antigens that are expressed on the surface, and
9 as people have pointed out, those antibodies
10 may not have gone through prospective trials
11 and searches for evidence that's available, but
12 I think we've accepted that, we accepted
13 something as an adenocarcinoma if it's PVF-1

14 positive and P-63 negative on a small biopsy
15 for lung, and we treat. And I think
16 empirically, my guess is that the data will
17 support that that's valid, and you can go back
18 and do those studies, but my guess is everybody
19 would probably agree that that thinking is
20 valid.

21 So now after having done all of those
22 things -- and so immunohistochemistry looks at
23 expression. Looking at RNA is one step further
24 upstream and as I think Dr. Wassman pointed
25 out, we're just looking at upstream markers of

00181

1 these things, we're looking at the message RNAs
2 that code for these proteins, or in microRNA
3 assays, we're looking at other regulatory RNAs
4 that determine differentiation and expression
5 of phenotype. So it makes sense biologically
6 that these things should have relevance in
7 determining lineage and differentiation, and
8 while -- so the hypothesis is that that's true
9 and so far the data, while it may be limited in
10 some ways, supports that hypothesis, and it
11 goes along with our history of thinking and how
12 we have treated cancers. So it would not
13 surprise me if at the end all this plays out,
14 and looking at these kinds of markers will be
15 of value. I've lost my train of thought.

16 So I think even outside of specific
17 studies, that historical context tells me
18 something about these molecular markers and
19 this approach to evaluating these tumors, and
20 it is one part of the continuum in how we go
21 about evaluating tumors, it shouldn't be seen
22 in isolation.

23 DR. REDBERG: Dr. Rosenthal, did you
24 want to comment on that?

25 DR. ROSENTHAL: Yes, I do. Taking it

00182

1 as far as you've taken it, I completely agree
2 with you and I'm following your chain of
3 thought. But if you go back, I remember the
4 days when cytology was distinguishing between
5 adeno and squamous carcinoma of the lung and we
6 thought doing a very good job of it, and then
7 in the '80s somebody came along, I can't even
8 tell you who, probably the WHO, said it doesn't
9 matter what you're going to call a non-small
10 cell, and those of us who love our cells said
11 we're making a big mistake. And now what goes
12 around comes around and I can, you know, laugh
13 and say ha-ha, I can do this by looking at the
14 cells, I don't need all this fancy stuff. But
15 the reason we need the fancy stuff is that we

16 now have target drugs that are based on the
17 genetic mutations in these tumor cells, so it's
18 vitally important that we identify what's going
19 on with this particular tumor, because now we
20 have a real piece of ammunition for this tumor,
21 and the therapy is driving us, as perhaps it
22 should.

23 That's not to say that we shouldn't
24 continue to do genetic analysis on every tumor
25 that we possibly can, because eventually there

00183

1 are going to be target drugs for all of them
2 hopefully, if we live long enough. And so
3 just, I don't think we should be doing it just
4 to give somebody the answer of where is that
5 tumor from, and the clinical outcome is going
6 to depend on what drugs we have to address the
7 tumor the best way we possibly can.

8 DR. REDBERG: Dr. Sartor, and then
9 Dr. Beyer.

10 DR. SARTOR: So, I think it is
11 important to note that the grouping here is not
12 by molecular mechanism that we would utilize in
13 most targeted therapies, but rather to serve as
14 a source of origin, tissue of origin, and I
15 think that there is a significant link between
16 those. Just for instance, I will mention the
17 b-raf mutation, which is an FDA-approved
18 melanoma for a very specific b-raf mutation.
19 Now it turns out that there are other tumors
20 from other tissues that can express that
21 mutation, and in the New England Journal there
22 was a demonstration that the drug affected
23 those tumors possibly as well. So I think we
24 do have to make the distinction between what
25 these tests show, which is tissue of origin, as

00184

1 opposed to actionable mutations for targeted
2 drugs, those are two separate issues.

3 DR. ROSENTHAL: But they're
4 intertwined.

5 DR. SARTOR: They're intertwined, but
6 in a Venn diagram there's a lot of distinction.

7 DR. ROSENTHAL: Absolutely.

8 DR. REDBERG: Dr. Rizzo, did you want
9 to comment on this?

10 DR. RIZZO: I would sort of mirror
11 those comments. I think right now identifying
12 the tumor of origin gets us partway down the
13 path of refining therapy, and in some cases
14 knowing the tumor of origin, then actually you
15 want to define molecular markers that will help
16 you refine therapy more, and that's what we
17 have now.

18 What we have coming is the ability to
19 molecularly identify potential targets as we
20 get more sophisticated across any tumor, like
21 b-raf, or the use of Gleevec and the other
22 targeted therapies, so we'll get there. But
23 right now our best pathway to that road is
24 tumor of origin to refine therapy with what we
25 have right now. It's imperfect, however.

00185

1 DR. REDBERG: Dr. Beyer.
2 DR. BEYER: I have been sitting here
3 listening to the conversation and thinking that
4 what we're really doing is using organ of
5 origin as a surrogate for what we really want
6 to know, which is what does this tumor, what is
7 the weakness of this particular tumor, what's
8 the target. And insofar as we can identify a
9 valuable target that we can aim at, I think
10 that it's extraordinarily useful. Otherwise,
11 it becomes a little harder to know whether what
12 we're doing is making a difference in the long
13 run or just making us feel better in the short
14 run.
15 DR. NOWAK: But identifying, simply
16 identifying signaling pathways that are
17 apparent, I think will be insufficient, and I
18 know people would argue for that, why don't we
19 just go and find out what drugs are going to
20 work. It depends on, the same signaling
21 pathway if it's turned on or off has different
22 consequences depending on the tissue background
23 in which it resides. So you can identify
24 aberrations consistent in pathways, and in some
25 cases they activate something and in other

00186

1 cases they'll actually turn things off, so
2 understand the differentiation of the tissue,
3 and it may not necessarily be the tissue of
4 origin, but it's the single differentiation, so
5 it is the context in which those signaling
6 pathways work.
7 So my inclination is to think that
8 both types of evaluation will be very
9 important, you will need to know which
10 signaling pathways are there, and you also need
11 to know the differentiation context, so I think
12 both things will be important. And that makes
13 these kinds of answers all the more critical as
14 we start looking at those and the varying
15 pathways.
16 DR. REDBERG: It sounds like the hope
17 is that better characterizing of tumors in a
18 lot of different ways will help us to target
19 treatment and lead to better outcomes. But it

20 also sounds to me at this point we don't have
21 any clinical trials that are actually
22 addressing those outcomes questions and that's,
23 you know, we have lots of ways to get more
24 information, but I'm a clinician, and what is
25 really important to me in the care of patients
00187

1 is does that information actually help me to
2 take better care of a patient so I can offer
3 them that benefit, and I think that seems to me
4 to be where certainly there is an evidence gap,
5 or one of the areas that need to be addressed
6 after we establish clinical utility.

7 And we haven't talked that much about
8 the reproducibility and variability of the
9 actual testing itself, which might be worthy of
10 some discussion. Dr. Sartor, did you have a
11 comment? You still have your card up.

12 DR. STECKER: That's mine.

13 DR. REDBERG: Oh, I'm sorry, Eric.

14 DR. STECKER: I've heard a number of
15 people comment about the compelling rationale
16 for targeted therapy and I share excitement
17 about it, I think it's amazing. In fact I'm at
18 Dr. Druker's institution, so it would be
19 blasphemy for me not to be excited, and I am
20 legitimately excited. But there is no biologic
21 or clinical rationale that is overwhelming, and
22 we've proven that so many times before. You
23 know, I've lived through the antioxidant
24 hypothesis of cardiovascular disease, and the
25 basic biological scientific rationale for

00188

1 introducing antioxidants to prevent heart
2 disease is overwhelming, but it ends up that
3 they're actually clinically overwhelmingly
4 negative.

5 In my own field, using encainide,
6 flecainide and moracizine to suppress recent
7 PVCs after a heart attack, tremendous mechanism
8 for suppression, but it actually harms people,
9 it's very dangerous to do, and that was
10 demonstrated in a randomized trial. Somebody
11 had said that these are always negative. They
12 aren't by any means always negative. There's a
13 randomized controlled trial which looked at
14 using defibrillators in patients after MI, and
15 people thought it was unethical to do the study
16 because there was such overwhelming evidence
17 for benefit, but people soldiered on, NIH
18 funded a study, and there was actual benefit.
19 But if we hadn't finished that study, we never
20 would have known for certain, and there would
21 have been a lot of debate surrounding it. So

22 with that said -- well, I also would like to
23 make one other comment. So basically well
24 controlled trials are critical no matter how
25 compelling the rationale for Gleevec is, or

00189

1 anything else, because the next tumor might be
2 different.

3 The second point I'd like to make is a
4 little bit contradictory to that because we've
5 been talking about survival differences a lot,
6 but there are other things out there. Our
7 questions are surrounding health outcomes, and
8 Mr. Berins highlighted this point, and some of
9 the other people highlighted this concept.

10 There are a lot of other -- you know, if you
11 get out of the blue, you get a diagnosis of
12 cancer with a terrible prognosis, you're told
13 your doctors have no idea where it is, what to
14 do with it, I mean, that's, you know, that's
15 got to be earth-shattering, even more
16 earth-shattering than just the cancer
17 diagnosis. I can imagine that having some,
18 whether or not it makes a difference in
19 survival, having some idea, some concept that
20 the people taking care of you are doing so in a
21 directed manner would actually improve quality
22 of life. And so studies that incorporate not
23 just survival but also quality of life, I think
24 would be very important, but I would note that
25 there are none of those studies so far in

00190

1 cancer of unknown primary.

2 I also note that, I don't want a
3 regimen where you have to do randomized
4 controlled trials or perish, I agree that there
5 are things you can't test, I don't think that
6 this reaches that. I'd also point out that as
7 far as observational controlled trials go,
8 historical controls are deeply flawed. There
9 are a lot of other ways to do observational
10 controlled trials without randomizing people
11 that are much higher quality, and that
12 therefore I would put much higher stock in.

13 DR. REDBERG: Dr. Sedrakyán.

14 DR. SEDRAKYAN: I needed some
15 clarification. There was extensive discussion
16 in both technology assessments about clinical
17 validity and analytic validity. I would like
18 you to reflect on how analytic validity helps
19 us here when you were dealing with clinical
20 validity in here, and when you were referring
21 to analytical validity it was really
22 reproducibility and reliability of the test, so
23 can you comment if that's a helpful concept for

24 us to consider in thinking about clinical
25 validity, why a substantial portion of the
00191

1 technology assessment addressed that? Was it a
2 precondition before you conclude clinical
3 validity? Some of the clarification would be
4 helpful about that.
5 And then, I'm reading the technology
6 assessment for CUP and it says that the
7 evidence that the TOO test contributed to
8 diagnosis of CUP was moderate, and then it goes
9 on to say low evidence supported the clinical
10 usefulness of the TOO test in making diagnosis
11 of tumor. This seems to be a little
12 contradictory, moderate level, low evidence, so
13 can you clarify this for me?

14 DR. WHITEHEAD: So, the first question
15 was the value of analytics, looking at analytic
16 validity, and I state again that we were asked
17 in our contract to look at it. But if you have
18 good analytic validity, or if you have good
19 clinical validity, the analytic validity
20 information may be, you know, only supportive.
21 But if you don't have clinical validity and you
22 don't know until you look at it, then you have
23 the question of why not, and in that context
24 the analytic validity information can become
25 kind of important, you know, is it not
00192

1 measuring what they say they measured, can you
2 not reproduce it.
3 So, I think that's probably the reason
4 it was asked for, and I know that's the reason
5 because I'm actually more familiar with doing
6 strictly genetic test evaluations in the AIDS
7 model. So I know that's one of the key parts
8 of that model, is you need good analytic
9 validity to have good clinical validity, and if
10 you don't have good clinical validity, you want
11 to figure out why.
12 To address the other question, I think
13 this may be a matter of shortening things a
14 little too much in the table, but there's
15 moderate evidence that the TOO test actually
16 provided prediction in most cases. That's what
17 that is. There's low evidence that it adds to
18 the existing diagnosis, that it's clinically
19 useful in reaching a diagnosis over and above
20 what the standard IHC might have been. I
21 believe that's the answer.
22 DR. SEDRAKYAN: Thank you.
23 DR. REDBERG: This is Dr. Uhlig.
24 DR. UHLIG: I just wanted to add to
25 the perspective in terms of clarification, so I

00193

1 think that there is a distinction between
2 clinical validity and analytic validity, and,
3 you know, for all the technology assessments,
4 did we actually look at the reproducibility
5 issues, because there are pre-analytic things
6 that are dealt with in quality control and so
7 on. I think that, you know, as was discussed
8 before, is that the evidence builds up. You
9 know, if you don't really have analytic
10 validity, you know, that really makes it more
11 difficult to assess the subsequent findings to
12 base it on, it puts into question some of the
13 possibilities of that, you know, the ability to
14 show impact.

15 But in the case of FISH, you know,
16 what I've heard from people who are doing this
17 test is that there's a lot of subjectivity in
18 actually scoring the tests, so we haven't even
19 gone there yet, at least for FISH for cervical
20 cancer, there may be things that are
21 pre-analytic validity that we haven't dealt
22 with.

23 DR. SEDRAKYAN: I'm a little more
24 confused now because on a slide that says
25 analytic validity, Dr. Whitehead, you have

00194

1 coefficient of reproducibility, and you have
2 reproducibility, CT values, and then you have
3 interlaboratory concordance.

4 DR. WHITEHEAD: So, technically
5 speaking, there are more things reported in
6 that table than would be considered a strict
7 laboratory definition of validity, but they all
8 get at how well the test is being done in the
9 lab, and I used the broader classification. If
10 you look at the actual mock ACCE framework,
11 there's a long list of questions that feed into
12 that that you consider in that, and how well
13 does it actually measure what the analyte is
14 that is part of the test, and that's why it
15 says validity, but it could be reproducibility,
16 it includes reproducibility of the scores,
17 which of course includes both the laboratory
18 results as well as the statistical algorithm.
19 You need a way in the framework to look at how
20 well the process of getting an answer works,
21 and that's what isn't there.

22 DR. REDBERG: Dr. Uhlig, please go to
23 the microphone.

24 DR. UHLIG: And I think you had the
25 question of how important is it to have

00195

1 evidence on analytic validity, and in the case

2 of FISH we didn't find any study that
3 correlated TERC with sequence analysis, DNA
4 sequencing, and that didn't worry me, you know,
5 inasmuch as it doesn't invalidate the
6 subsequent findings. So I think it depends on
7 your tests, how important each one of these
8 tests are, but you think of them as building
9 blocks.

10 DR. REDBERG: I heard one of the
11 presenters, all of these are not FDA-approved
12 tests?

13 (Discussion off microphone.)

14 DR. BEYER: But to clarify, FDA
15 approval is not required for these particular
16 tests because of the way they're used; is that
17 correct?

18 DR. SARTOR: I'm sorry, I heard
19 something about FDA-approved, so could you
20 repeat what you just said?

21 DR. WHITEHEAD: The Pathworks tissue
22 of origin test was cleared by the FDA. I have
23 to have the definition in front of me to
24 remember the difference between cleared and
25 approved, because I don't remember, but I
00196

1 believe, you know, it's not required, it's
2 presented to FDA and cleared is a less,
3 requires a less rigorous evaluation.

4 DR. SARTOR: Is it a 510(k) problem?

5 DR. WHITEHEAD: It's different, and at
6 the time we gathered the information on the
7 test, that was the only one of the three tests
8 that had been cleared by the FDA.

9 DR. REDBERG: Dr. Gutman.

10 DR. GUTMAN: Yeah, I can clarify.
11 There are two routes to market currently for
12 tests that are distributed to multiple labs,
13 they require regulation by FDA. If the test is
14 set up and used at an individual lab, that's
15 called a lab-developed test. FDA has asserted
16 that it has jurisdiction over this but has not
17 yet exercised jurisdiction, so those tests are
18 brought to market under the oversight of the
19 other CLEO program, which is not a discrete
20 approval or clearance program, and this was,
21 Pathworks was cleared and defined as, I believe
22 it was de novo, which meant that it was novel
23 and it had special controls put into place, but
24 it went through the 510(k) rather than the CMA
25 process.

00197

1 DR. REDBERG: This is the substantial
2 equivalence test.

3 DR. GUTMAN: Well, it's substantial

4 equivalence, but it can be applied to a
5 moderate risk test and it can create its own
6 boundaries as a moderate complexity test, it's
7 sort of an automatic down classification, and
8 then it serves as a predicate for future tests
9 of that same type.

10 DR. REDBERG: Dr. Sanders.

11 DR. SANDERS: So, I've heard a lot
12 today about the question of value added, which
13 begs the question of how does one define value,
14 and I've been taught by many recent discussions
15 in health care reform that I should be defining
16 value as quality divided by cost, so there,
17 I've actually said it. I wonder, how much do
18 these tests cost, and is there parity among
19 them, and is there any kind of standardization
20 across the country? So if I'm going to have
21 this test in San Diego as opposed to Cleveland,
22 does it make a difference?

23 DR. REDBERG: Part of the issue is
24 that cost is not a criteria for a Medicare
25 evaluation so we can't on its own discuss

00198

1 costs. We can, I suppose, introduce it as part
2 of the value equation, but Medicare does not
3 consider costs in coverage policy. I think we
4 did hear some testimony about cost of the test,
5 at least I thought we did.

6 MS. MASSEY: I want to follow up on
7 the quality of life, and not the cost of the
8 test, but does the test being given, and then
9 what happens afterwards affecting quality of
10 life, is there a difference in the cost of that
11 quality of life? And you could look at it a
12 couple of ways. If the test defines a
13 treatment strategy that is more costly or less
14 costly, or prolongs life, or -- I mean, there's
15 a lot of ways to look at the costs from that
16 point too.

17 DR. REDBERG: Right, and as I think we
18 were also discussing, there's a lot of ways to
19 look at quality of life, certainly the
20 functional status, certainty, a lot of things
21 that would come into play here that are not
22 strictly about your diagnosis, but certainly
23 about your treatment and prognosis, because I
24 think that's what the hope is, it's related to
25 treatment and prognosis, and then of course

00199

1 there are the tradeoffs of treatment versus
2 nontreatment in terms of disability and
3 additional life years.

4 MS. MASSEY: Right. I mean, if you're
5 being treated for CUP with a treatment strategy

6 that has very toxic side effects, then your
7 functional status and all of that is going to
8 be impaired, whereas if you're treated with a
9 known diagnosis there's fewer side effects, or
10 they might be more, I don't know.

11 DR. REDBERG: Right, or you might be
12 living longer but that might be in the hospital
13 in an intensive care unit, so all those are
14 questions certainly important to address in
15 clinical settings. I think I saw,
16 Dr. Marciniak, did you want to add something?

17 DR. MARCINIAK: No, I was going to
18 chip in much along the lines that you did,
19 Dr. Redberg, so you covered it.

20 DR. REDBERG: Art.

21 DR. SEDRAKYAN: Art Sedrakyan from
22 Cornell. I have a question for the panel,
23 particularly those who practice oncology or
24 oncology surgery. If TOO is identified, how
25 likely that you would go after identified

00200

1 primary site using MRI or any other
2 technologies out there? How resource intensive
3 can these be? Would you do that routinely,
4 would you do it in selected cases, so could you
5 comment about that? And if you can't identify
6 the tumor origin, would you aggressively try to
7 do that? Dr. Wong.

8 DR. WONG: From a surgical
9 perspective, I would only do it if I thought it
10 would make a difference in what I could treat
11 the patient with, so that's probably not a
12 great question to answer from my clinical
13 perspective.

14 But I will mention that I think a lot
15 of times, that will have been done up front, to
16 try to determine the origin before or during
17 the undergoing pathologic testing. I think if
18 someone presents with adenopathy, I think the
19 search for the primary begins at that point,
20 and not necessarily after the identification of
21 the TOO, so to speak.

22 DR. BEYER: I was just going to say
23 that, jumping off from that, when we're talking
24 about tumors of unknown origin, these patients
25 present with metastasis and get biopsies and

00201

1 get an HME stain and say oh, it looks like a
2 cancer. Lots of things happen before the
3 immunohistochemistry is done, lots of things
4 happen before you even get a test back, and
5 those things probably do already include, you
6 know, imaging studies, PET MRs, CTs, those
7 studies. Also, you know, in a woman with an

8 axillary node, you're going to do a mammogram.
9 These things have already been done before it
10 gets called a TOO.
11 So I think it probably, the answer to
12 your question is it probably has already been
13 done, we've already looked at the pancreas,
14 we've already looked at the lung, we've already
15 looked at the breast, we still don't know where
16 it is. The true unknown primary cancers are
17 the ones where the primary is probably
18 involuted, and we may never find it.
19 DR. SEDRAKYAN: Thank you for the
20 clarification.

21 DR. SARTOR: So, Dr. Sartor, just sort
22 of a minor clarification. There are a lot of
23 tumors that start out as unknown primary,
24 exactly as we've heard, and you then rapidly
25 undergo assessments where the biopsy might be
00202

1 positive. And so yesterday, I got a report on
2 a fellow who looked like he had a primary in
3 his liver and we believe it to be metastatic,
4 so we scoped him up and down, and that would
5 have sort of been fairly logical, to think that
6 a colorectal cancer could have metastasized to
7 the liver, or from the colon into the stomach.
8 Well, those tests are now negative, so
9 he falls into a true CUPS category, as opposed
10 to a lot of people who start there, and you
11 quickly narrow it down with either mammograms
12 or other methods.

13 DR. REDBERG: Dr. Howard.

14 DR. HOWARD: This is kind of a
15 question and kind of a comment, but when it
16 comes to widespread use, I don't see why we
17 couldn't potentially say well, now we are going
18 to use this genetic stuff as the primary
19 indicator and do all this stuff after the
20 genetic test.

21 DR. BEYER: David Beyer. Conceivably
22 you're absolutely correct, particularly if it
23 was available quickly. You know, if you were
24 able to quickly have an answer, oh, this is
25 breast cancer in the liver, then you just
00203

1 spared that woman a colonoscopy, an EGD, and
2 God knows what else, if you can quickly answer
3 that question. I don't think we're there yet,
4 but absolutely, that would be a game changer.

5 DR. REDBERG: Any other comments?

6 Yes, Dr. Conley.

7 DR. CONLEY: Yeah, this is Barb
8 Conley. I was listening to all of the
9 conversation thus far today. The point of any

10 and all diagnostic tests when you don't know
11 where the primary is, is to find something that
12 might have a good response to whatever
13 treatment is out there, so to the extent that
14 things would be useful, would be when you find
15 that.

16 DR. REDBERG: And it sounds to me like
17 those trials we're waiting for, or that
18 information we're waiting for, but currently we
19 don't have any sort of treatment-directed
20 answers on either survival or quality of life.

21 As you see, Maria is now passing out
22 clickers, and that's because we are getting
23 close to the voting questions. So if any of
24 the panelists have any additional comments or
25 questions you want to raise, we can do it now,

00204

1 and then we'll turn to the voting questions.

2 DR. STECKER: I'm sorry, I need to
3 raise a question and make a generic comment
4 before we vote. Two and three, questions two
5 and three on our green sheets, the difference,
6 only difference I really see is question two
7 talks about whether there's sufficient evidence
8 for genetic testing of tumor tissue to affect
9 health outcomes, and question three talks about
10 whether there's sufficient evidence to conclude
11 that genetic testing improves overall health
12 outcomes.

13 DR. REDBERG: Okay. We won't get to
14 question three unless we have scored 2.5 or
15 more on question two.

16 DR. STECKER: I'm just wondering, I'm
17 trying to make a distinction between the two.
18 Effects could mean, am I voting on --

19 DR. REDBERG: Effects could go either
20 way, it could be a net harm or net benefit, so
21 that's any effect, and then question three is
22 specifically in the positive category. Are
23 there any other questions or comments? Okay.

24 So, I am going to read over the
25 introduction, and again, I want to thank the

00205

1 speakers for the presentations in the morning,
2 but the morning was the time for the
3 presentations and the discussion and open
4 public comment, the afternoon is time for panel
5 discussion and then moving to the voting
6 questions.

7 And so we are, as you know, we looked
8 at two genetic tests today, the DNA- or
9 RNA-based test to predict the likely tissue of
10 origin in patients presenting with a cancer of
11 unknown primary site, referred to as CUP, and

12 then we also talked about fluorescence in situ
13 hybridization tests for cancer or pre-cancer in
14 patients with atypical squamous cells of
15 unknown significance or low-grade squamous
16 epithelial cells in cytologic specimens from
17 the uterine cervix. And so when we vote, we're
18 going to vote first on the first set of data
19 for the data set for CUP, and secondly on the
20 FISH test.

21 And just a reminder, to address the
22 clinical validity of the tests, the outcomes of
23 interest of CMS for FISH include histologic
24 confirmation of higher-grade cervical
25 intraepithelial neoplasm on biopsy, overall

00206

1 survival, mortality, avoidance of harms of
2 antitumor treatments, quality of life and
3 others. And to address overall health
4 outcomes, the outcomes of interest for CMS for
5 CUP include tumor recurrence, overall survival,
6 mortality, avoidance of harms of antitumor
7 treatments, quality of life and others.

8 And you are going to be voting on a
9 one to five scale, one is low confidence, three
10 is intermediate, five is high, and you can vote
11 any of the integers, one through five.
12 So the first voting question is, how
13 confident are you that existing evidence is
14 sufficient to confirm the clinical validity,
15 defined as how reliably test results are
16 associated with the presence of the disease for
17 target condition of each of the following? And
18 first we'll vote on the DNA- or RNA-based
19 testing to predict tissue of origin for CUP,
20 and you should use your clicker to vote.

21 MS. ELLIS: I'm sorry, we just need
22 one minute, we seem to have a computer glitch.

23 (Pause.)

24 (The panel voted and votes were
25 recorded by staff.)

00207

1 DR. REDBERG: So, it looks like the
2 vote was a mean of 3.25, which is pretty close
3 to intermediate. Usually we discuss the vote,
4 but maybe I will finish part B and then we can
5 discuss the vote, okay? So I'm going to, I
6 will ask the second part of the question, I'm
7 not going to read it all again, and then we'll
8 go down and discuss the votes. And so part B
9 is the same, how confident are you that the
10 existing evidence is sufficient to confirm the
11 clinical validity of FISH testing for cervical
12 cancer/pre-cancer in patients with atypical
13 squamous cells of unknown significance/

14 low-grade intraepithelial squamous lesions?
15 (The panel voted and votes were
16 recorded by staff.)
17 DR. REDBERG: And so that came in
18 lower, in the low confidence or low to
19 intermediate. And so now, Art, do you want to
20 start and talk about 1.A, and then 1.B?

21 DR. SEDRAKYAN: Sure. I was
22 influenced by the fact that there seems to be
23 some utility to these tests when trying to
24 identify the tissue of origin, tumor of unknown
25 origin. And while the evidence is low to

00208

1 moderate quality, I mean, in some situations it
2 seemed to help to identify this primary site
3 better and there is some evidence for that, so
4 I wanted to err on the side of positive
5 potential for these tests to have, and I voted
6 three.

7 For FISH, there was very limited
8 evidence presented to us to make any statements
9 and conclude anything, so I was much less
10 confident for FISH.

11 DR. BEYER: David Beyer. I --

12 DR. SEDRAKYAN: I voted one for FISH.

13 DR. BEYER: David Beyer. I was
14 actually fairly convinced that the testing for
15 CUP in carcinomas of unknown primary was able
16 to identify tissues of origin where the tissue
17 was known, and to me that's an important thing
18 to say, you know, that you can identify
19 pancreas as pancreas, you can identify thyroid
20 as thyroid, and I thought that the evidence
21 presented was fairly convincing on that. I
22 think that the ability to then identify a truly
23 unknown, in a patient where it's truly unknown,
24 I don't know that we've answered that question,
25 I think there is still some uncertainty whether

00209

1 you can make the easy step from one to the
2 other, but I was convinced enough that I scored
3 it as a four.

4 On the FISH, I was a little less
5 convinced about the ability to meaningfully
6 identify something and make a statement. I
7 gave it a little more benefit of the doubt, but
8 I scored it as a two, however.

9 DR. REDBERG: Thank you, and just, can
10 you first state, starting with Dr. Blegen, how
11 you voted on 1.A and 1.B, and then tell us the
12 reasons.

13 DR. BLEGEN: Okay, sure. This is Dr.
14 Blegen, and I scored for the CUP issue a four
15 and for the FISH issue a two, and my sentiments

16 are similar to the previous speakers, that
17 there just seemed to be sufficient evidence,
18 not overwhelming but certainly sufficient
19 evidence to think that the CUP test would
20 actually improve things, whereas the FISH tests
21 do not look like they would.

22 DR. REDBERG: Thank you. Dr. Gutman.

23 DR. GUTMAN: Yeah. I was a little bit
24 more skeptical on the first test, I actually
25 remain uncertain. I agree that when your

00210

1 tissue of origin is known actually, I believe
2 there is probably 85 to 88 percent concordance.
3 It's not clear to me when the tissue is
4 unknown, whether that falls to 75 or 55 or 15,
5 so I didn't buy it, I actually put a two
6 because I think it's plausible but I couldn't
7 go above that. And I gave the ISH a two also,
8 just because I thought it was plausible, but
9 nice try, no cigar.

10 MS. ELLIS: Excuse me, panel members.
11 Because we are, the meeting is being webcast,
12 could you please state your vote first into the
13 mic so that everyone can hear you, and then you
14 can do your discussion, so if you would say 1.A
15 and what your vote is, and then 1.B, what your
16 vote is. Thank you.

17 DR. HOWARD: 1.A I voted a four, 1.B I
18 voted a two. With regard to CUP, I thought the
19 evidence where they tested CUP on specimens
20 with known tumor site, the CUP, I think the
21 test performed very well, was able to identify
22 a high proportion of those, I found that
23 relatively convincing. For the FISH test, the
24 number of studies seemed to indicate that the
25 specificity was fairly low and most of the

00211

1 studies tested were of intermediate outcomes
2 rather than CIN3, so for that reason I was only
3 able to give that a two.

4 MS. MASSEY: This is Pamela Massey. I
5 voted a three for 1.A and a two for 1.B, and my
6 reasons are, have already been mentioned by
7 previous speakers.

8 DR. NOWAK: This is Jan Nowak. So,
9 for 1.A I voted three and for 1.B I voted two.
10 For CUP testing, I think the evidence is
11 reasonably good that the test can demonstrate
12 similarity to known tumors, I believe that the
13 tests do what they say they can do, but I have
14 some questions about what that similarity means
15 and maybe even the degree of similarity as to
16 what that means biologically and what it
17 ultimately means clinically, but I believe the

18 tests do that. There could be more evidence,
19 so I could have gone to four, but I put down
20 three.

21 For FISH testing, several things. One
22 is, for me, cervical cancer screening refers to
23 cytology, and once you start talking about
24 looking at tissue, that's histology and that's
25 a different usage, and the discussion here got

00212

1 a little bit complicated because both kinds of
2 things were included and different kinds of
3 FISH tests were included.
4 That they might have some relevance
5 for the evaluation of ASCUS or for LSIL, I may
6 acknowledge that there may be some utility
7 there, but the available tests that we have for
8 cervical cancer screening have been clinically
9 validated on thousands, tens of thousands of
10 women through the ALTS trial, and for any test
11 to displace that or to even try to show
12 equivalence will be very very difficult, and I
13 certainly didn't hear anything to say that
14 these tests are anywhere near there. So I gave
15 it a two, and I thought maybe that was being a
16 little generous.

17 DR. REDBERG: Thank you. Dr. Rizzo.

18 DR. RIZZO: Hi, this is Doug Rizzo.

19 My vote was, on 1.A I voted a three, and on 1.B
20 I voted a one. The reasons have largely been
21 articulated. I also, I struggled with the
22 methodologist in me struggling against the
23 biologist/clinician in me and the methodologist
24 kind of won, particularly on 1.B. I think
25 knowing the validity for CUP testing against

00213

1 the tumors that we already believe we know the
2 answer on is important, I think adding ten
3 percent, if that's really an accurate
4 assessment, adding another ten percent of
5 patients for whom it would be unknown and
6 converting them to a known is potentially
7 valuable inasmuch as that's really a well done
8 study against a gold standard, but I wasn't
9 really convinced about that. Biologically,
10 though, I think that the promise here, it's
11 just not conclusiveness.

12 I really did not feel that the data to
13 support the FISH testing was sufficient. I
14 think the best category was in terms of LSIL
15 but the others have much less data, I think the
16 appropriate thresholds are not well determined,
17 and I don't think this is an area where we
18 could not get better data.

19 DR. SANDERS: So, I voted three for --

20 DR. REDBERG: Dr. Sanders.

21 DR. SANDERS: Dr. Sanders, I'm sorry.

22 I voted three for 1.A and one for 1.B, and my
23 rationale for 1.A was primarily determined by
24 the evidence that I heard in the technology
25 assessment and that I found fairly persuasive,

00214

1 that in fact these tests are able to identify
2 what they set out to identify. I also was a
3 little bit on a fence between a two and a
4 three, if I could have been a two-and-a-half I
5 would have been a two-and-a-half, but I was
6 persuaded in part also to go three by the
7 results from the comparative effectiveness
8 study from this morning, and also by the
9 somewhat, the heartfelt comments from the
10 gentleman from Louisiana about the difference
11 that it made in his outlook on life having an
12 answer, rather than remaining in the kind of
13 unknown category, and I allowed that to tip me
14 into being a three.

15 For question 1.B, I found that the
16 presentations were for the most part pretty
17 cohesively indicating that the evidence base is
18 premature and that there may be potential, but
19 that we're not there just yet.

20 DR. SARTOR: Dr. Sartor. I had a four
21 for 1.A and a two for 1.B. My rationale for
22 the four was that I was reasonably convinced
23 that a histologic arm could be established, and
24 certainly it's not with high confidence. We
25 heard about the probabilities that the test

00215

1 would work, but nevertheless the concept that
2 as we go from protein to RNA and other
3 genetic-based testing that we could refine what
4 we currently do is certainly plausible, and I
5 think the data would support that.

6 With regard to the FISH testing, I did
7 not have confidence and I'm really more of a
8 1.5 than a two, that we really were detecting
9 what we wanted to detect, and that there were a
10 variety of observational variations that were
11 important, and when you put it in the context
12 of a complex algorithm, I was struggling to
13 find its place in the algorithm.

14 DR. STECKER: Eric Stecker. For 1.A I
15 voted three and for 1.B I voted two. The three
16 vote for DNA and RNA testing for CUP was based
17 on the concept that I feel pretty confident
18 that it's correctly identifying the tumor of
19 origin in a setting of known tumor origin.
20 Whether that is the same for unknown tumor of
21 origin is difficult and potentially unknowable,

22 strictly speaking.
23 I think what additional data could
24 convert me to a three or a four or a five would
25 be treatment evidence that tumors identified as
00216

1 X, Y or Z respond conventionally or fairly
2 conventionally to the usual treatments for X, Y
3 or Z cancer. So if there were some treatment
4 data to validate that, then my vote could have
5 been higher; absent that, it was a three.
6 For FISH testing for cervical cancer,
7 my vote was a two. I think that the tests are
8 heterogeneous, the results are heterogeneous,
9 and so I didn't have any more confidence than a
10 two.

11 DR. WONG: Sandra Wong. For question
12 1.A I voted a three, for question 1.B I voted a
13 one. The rationale has largely been delineated
14 by previous speakers. I will add that I think
15 the technology assessments really helped inform
16 this vote. I think the methodologic basis for
17 the tests are at a point now where my votes
18 were a little lower, I think that if the
19 methodology were to be strengthened that those
20 numbers could move up, but I think the current
21 state of the evidence doesn't support a higher
22 number than what I voted.

23 DR. MARCINIAK: So, Martin Marciniak.
24 My vote on 1.A was a three, my vote on 1.B was
25 a two. A number of my comments have already
00217

1 been stated. What I will say, it's important
2 for me in terms of the links, and so we have
3 the validity story, but more importantly, how
4 does that link to other things in terms of
5 treatment outcome? I think that as we take a
6 look at the evidence paradigm of importance,
7 with all the evidence discussion, it would be
8 well worth the effort to put some time into
9 that to help people understand whether this
10 gives us a plus or minus sequentially in terms
11 of the value that therapies are providing above
12 that which is already in place today.

13 DR. CONLEY: Barb Conley. For 1.A I
14 voted a three, for 1.B I voted a one. For 1.A,
15 this was based on what I thought was reasonably
16 good evidence that tumors of known origin could
17 be detected, as well as the technological
18 assessment and some other data coming out that
19 showed that many metastatic tumors do kind of
20 track with primary tumors molecularly.
21 And then for 1.B, I was not convinced
22 and I was a little disappointed that there
23 really wasn't much in the literature to support

24 a clinical validity assessment at all.

25 DR. ROSENTHAL: Dorothy Rosenthal.

00218

1 For 1.A, a two. I think philosophically it's a
2 great idea to be able to tell what the primary
3 tumor is, but I think we need greater analytic
4 validity and some very good, well controlled
5 studies, whether they're blinded or not or
6 randomized, it's something up to the people who
7 design good studies, but we need some.

8 And for 1.B, I've already stated my
9 views very loud and clear, loudly and clearly,
10 and I voted a one.

11 DR. REDBERG: Thank you. So, we will
12 go on now to Question 2.A only, because only A
13 had a score of more than 2.5. So, for this the
14 question is, how confident are you that there
15 is sufficient evidence to determine whether
16 genetic testing of tumor tissue affects health
17 outcomes, including benefits and harms, for
18 patients with cancer whose anticancer treatment
19 strategy is guided by the results of DNA- or
20 RNA-based testing to predict tissue of origin
21 for CUP?

22 It could affect it negatively or
23 positively, benefit or harm.

24 (The panel voted and votes were
25 recorded by staff.)

00219

1 DR. REDBERG: Okay. So the votes on
2 this is a low to intermediate confidence, it's
3 a vote of 2.083, and now we'll do the same
4 thing, we'll go down the line and discuss the
5 reasons for the vote.

6 DR. SEDRAKYAN: Art Sedrakyan, I voted
7 two. And the reason I voted two is that I was
8 really not convinced that we have substantial
9 data, substantial evidence that the outcomes
10 change. The only study that has been presented
11 and discussed potentially in colorectal cancer
12 and in overall population, I looked at that
13 paper thoroughly and I couldn't even find the
14 baseline demographics presented for the groups
15 that are being compared, so I have serious
16 analytic concerns about the studies that show
17 these benefits that have been discussed.

18 Now one can think of this as even a
19 small study can show you benefits, so it's a
20 huge effect size. I would say the biases can
21 be so substantial in selecting the patients and
22 who is likely to agree to get the CUP, it might
23 be healthier patients, patients who are
24 younger, there might be a lot of factors that
25 can lead to choice to get this CUP or to who it

00220

1 is offered, and while people who haven't got
2 the CUP might have been those who had hopeless
3 conditions regardless, serious comorbidities
4 and wouldn't be able to go for chemotherapy to
5 start with.

6 So there were a lot of concerns
7 analytically, and we know talking about
8 statistical issues there were many statistical
9 problems there as well, so I wasn't convinced
10 that there's enough evidence for me to vote
11 higher than a two.

12 Why I voted two, not one, a major
13 determinant of that was the fact that the harms
14 seemed to be not a big problem here. The
15 determination of TOO, and these patients are
16 getting chemotherapy anyway, I'm convinced that
17 therapy will lead to more harm, or differential
18 therapy based on identification of TOO will
19 lead to more harm. So harm is not the issue,
20 but we just don't have any benefits documented
21 here, and that was critical for me, that we
22 really need to have more data, more evidence
23 before we can vote about the ratio of benefits
24 to harms, which is question number three.

25 DR. BEYER: David Beyer. I voted a

00221

1 three on this, and I actually voted twice, I'm
2 not from Chicago but I voted twice. They tell
3 me my second vote is the only one that counts.
4 I started with a two, and I started with a two
5 because the question says how confident am I
6 that there's sufficient evidence, and when you
7 come back to the word evidence, I don't think
8 we saw sufficient evidence. I think we saw
9 some provocative studies and enough to give, to
10 get me off the one and to convince me that
11 there may well be something here. I actually
12 believe that this does affect health outcomes
13 and I believe that this does make a difference,
14 but I don't think the evidence is there and I
15 think as the question is worded, we just don't
16 have the evidence, and I think we really need
17 the evidence.

18 Having then decided I was going to
19 vote a two, I actually bumped myself up, also
20 out of respect for the fact that it does
21 clearly impact patients to know what their
22 diagnosis is, and I thought that was enough to
23 push me from the two to the three.

24 DR. BLEGEN: I'm Dr. Blegen and I
25 voted a one on that, and it came down to how

00222

1 much confidence I had in the evidence that this

2 affected outcomes, and in terms of strength of
3 evidence I just did not feel that it was there,
4 so I had to stay with a one.

5 DR. GUTMAN: Yeah. I think the whole
6 thing is, is this an unusual test where the
7 clinical utility would trump the fact that you
8 don't actually have clinical validity and may
9 not ever be able to get clinical validity
10 because of the nature of the beast, and I
11 thought that it's very promising but that there
12 just wasn't enough to go anywhere, so I voted
13 two.

14 DR. HOWARD: For 2.A I voted two.
15 Like the other panelists, I wrestled with this
16 question. Certainly the potential is there but
17 when we're talking about issues of evidence,
18 you know, if not a randomized controlled trial,
19 then maybe some modeling studies, some type of
20 decision analysis that makes the logical leap
21 between identification of the tumor site,
22 changes in treatment therapy, and ultimately
23 patient outcomes. If I'm having to make those
24 logical leaps in my mind as opposed to actually
25 seeing someone do it, I can't vote with a high

00223

1 degree of confidence that this is a test that
2 is going to improve patient outcomes.

3 MS. MASSEY: Pamela Massey, I voted a
4 two, and like others, I was really disappointed
5 to see what there was out there for evidence,
6 because I so much wanted this to be a positive.
7 I think for people who have this disease, that
8 we've heard very articulately how much it can
9 affect one. And we're here because of science
10 and evidence, but there is also something
11 called hope and belief, and that also
12 contributes to the healing process. And, you
13 know, if you don't know what your disease is
14 and you don't have much hope, then that can
15 influence your outcome. So I really would
16 encourage that the scientific community go and
17 look and see if we can build a better case for
18 this. Thank you.

19 DR. NOWAK: Jan Nowak, I voted two.
20 Like the other panelists, I really believe that
21 this is going to fly, but the question isn't
22 about what I believe, it's about evidence, and
23 so I had to hold back and I gave it a two. I
24 also share Dr. Sedrakyan's comments about some
25 of these effects may be negative and we don't

00224

1 know that, so the effects may be there but they
2 may not all be positive, so that's important to
3 find out.

4 DR. RIZZO: Hi, this is Doug Rizzo, I
5 voted a three. I also wrestled, again, with
6 this issue. I found the data to be provocative
7 but I would agree that not sufficient in terms
8 of the evidence. I wrestled with the fact that
9 I believe some tumors definitely respond better
10 to targeted therapy than others and being able
11 to identify those may offer promise for
12 patients, which I think is important, and I
13 hope that we can develop appropriate evidence
14 as this field evolves.

15 I also factored in the fact that
16 having better assurance that the toxicity is
17 being matched to the appropriate disease and
18 treatment could be very important for avoiding
19 harms in patients. I don't think that there
20 are necessarily harms to patients from these
21 tests, there may be harms from a health system
22 perspective, but I think that's a different
23 question.

24 DR. SANDERS: Amy Sanders, and I voted
25 a two, and I think that many of the other

00225

1 panelists have touched already upon my basis
2 for my vote. Primarily it's that there is some
3 provocative suggestion that there may be some
4 effect on outcomes, including survival, that
5 moved me from being a one, which would have
6 stated I had no confidence, to two, meaning
7 that there is some suggestion that there may be
8 an answer to this question at some point, and
9 as others have said, it's unclear whether the
10 predominance will be positive or negative, but
11 that wasn't really the question.

12 DR. SARTOR: Oliver Sartor, I was a
13 three. The data is in transition. We're not
14 charged with voting on the future nor our
15 wishes, but rather the current evidence, and
16 the current evidence does not need in my mind
17 the level that I think would enable me to vote
18 any higher than a three, just by the fact that
19 I would like to. I think it probably does make
20 a difference in a subset of patients, I think
21 we need to see more data in order to convince
22 me that that is correct.

23 DR. STECKER: Eric Stecker, I had
24 exactly the sentiments as Dr. Sartor. However,
25 it led me to a vote of one for question 2.A,

00226

1 but with exactly the same rationale. I have
2 experience with evidence-based coverage
3 recommendations and I'm on a committee in the
4 state of Oregon, although I've never
5 participated in an oncology review, and I would

6 say that this is by far the lowest quality of
7 clinical evidence, not to say anything about
8 the treatment or the future of it, but the
9 current state of the evidence is by far the
10 lowest quality of evidence that I have ever
11 participated in, and because of that I voted it
12 a one.
13 I would say that it's exciting
14 technology, I think there's tremendous, as a
15 cardiologist I think there's tremendous
16 potential for success. I would differ with
17 some on the panel in saying I think there's
18 also unrecognized probability of harm. Every
19 day in literally every clinic, I see at least
20 two patients who are on drugs that, I'm
21 managing the risk of those drugs, when anywhere
22 from ten to 20 years ago those drugs were
23 thought to be a slam dunk case based on
24 clinical and biological rationale and that
25 clinical trials don't even need to be done, but

00227

1 when a randomized trial was done, it was shown
2 to be actually harmful. So I would say in a
3 setting of diagnosing these disorders, in using
4 chemotherapeutic drugs and going down different
5 diagnostic and therapeutic paths, we could end
6 up in a place of harm.

7 DR. WONG: Sandra Wong, I voted two on
8 this question. I completely agree with the
9 concern about the harms here. I think that we
10 are letting practice get ahead of the evidence,
11 and I believe that is a potential for large
12 harm. I think there is a lot of gaps in the
13 evidence that need to be addressed before we
14 head any further in terms of practice.

15 DR. MARCINIAK: Martin Marciniak, my
16 vote was a two. To bridge off Dr. Howard, I
17 think there were opportunities to clarify some
18 of what we were thinking, or some of the things
19 we thought we might want to see, hope gets me
20 to a two. But the tipping point really is how
21 do you clarify that which is happening, whether
22 it be through decision modeling or other tools,
23 to help us understand the evidence better in
24 truth with words, where the public is going to
25 go in the future, so that's what led me to my

00228

1 vote.

2 DR. CONLEY: Barb Conley, I voted two.
3 We have some provocative evidence out there but
4 I think there's more development to be done to
5 eventually seek out the subset of patients that
6 may benefit.

7 DR. ROSENTHAL: Dorothy Rosenthal,

8 also a two. Nothing more to add.
9 DR. REDBERG: Thank you all. We will
10 go on to the discussion questions. I'll just
11 comment, I thought that was a very helpful
12 discussion, we really appreciate all the
13 information from the presenters and the
14 panelists and everyone who came to talk this
15 morning. You know, I think these are important
16 questions because clearly, since I finished
17 medical school more than 30 years ago, there
18 are a lot more tests we can do and a lot more
19 possible treatments, and patients in general
20 are getting a lot more tests and a lot more
21 treatments. But I think what we really need to
22 focus on as a clinician is that missing link of
23 how are all of these additional tests and
24 treatments leading to better outcomes for our
25 patients, because I think we have a lot of

00229

1 because we can, we do, and perhaps with good
2 intentions, but I really feel it's our
3 professional responsibility to inform our
4 patients what we know and what we don't know
5 about tests and treatments.
6 And, you know, the fact that it might
7 be hard to enroll in the clinical trials
8 suggests that we have certainty, at least to me
9 currently, and I think for some things that may
10 be true, but for a lot of treatments, and I
11 think some of what we talked about today
12 certainly falls into it, we don't have
13 certainty, and that is the rationale for a
14 clinical trial. And while we all hope that a
15 new test will lead to better outcomes, what we
16 hope and what happens are very different, and
17 the only way we can find out what will actually
18 happen is by doing randomized clinical trials.
19 And we certainly, you know, cancer is
20 a very large clinical diagnosis and it's a
21 terrible thing for patients to hear, and we
22 want to offer them treatments that work, but we
23 can really only do that, I think, when we have
24 good quality data to show that it does change
25 outcomes. And I was a cardiology fellow when

00230

1 we were giving all those type 1A drugs because
2 of the thinking that because they suppressed
3 PVCs they were helping patients live longer,
4 and then we did the study and found out that
5 there was an increased death rate.
6 And I know that sometimes studies can
7 be positive, but I think what we heard today
8 was that there is a lack of data on clinical
9 outcomes. We talked a lot less about sort of

10 the laboratory standards, which I think are
11 another issue for genetic testing because of
12 the lack of a requirement for FDA approval, and
13 the importance of having reproducibility and
14 variability, particularly when there is direct
15 to consumer advertising as we heard there was
16 for some of these tests. I think we're asking
17 patients to make decisions that are very
18 difficult for us as physicians to make, and
19 certainly hard for patients to know what to do
20 with that information.

21 So I think it is, you know, we have a
22 lot of desire to get things to patients
23 quickly, but I think we only want to get things
24 quickly when we know they're of benefit, and
25 otherwise we have to think about the harms and

00231

1 the benefits and what are we trading off, and I
2 think we had a good discussion of that this
3 afternoon and, today, and this morning.

4 So the discussion questions that we
5 have, we have three discussion questions, and
6 it's please discuss whether the evidence as
7 presented may be generalized based on each of
8 the following factors: Regulatory status of
9 the tests such as, e.g., is it FDA-approved or
10 cleared, as we talked about, versus laboratory
11 developed tests. Site of testing, university
12 medical center or commercial laboratories
13 versus community-based laboratories. And
14 patient subgroups within the Medicare
15 beneficiary population, e.g., age.

16 And obviously, I think, just looking
17 at the last one, we heard that 13 of the 14
18 studies included patients of the age, although
19 I wasn't clear on what the average age was in
20 the studies, although I would assume that
21 cancer patients in general would be older and
22 more likely to be of Medicare age, I think not
23 so much true for the cervical cancer studies.

24 But at any rate, does anyone have any
25 comments on any of the issues here related to

00232

1 regulatory status of tests, the site of
2 testing, or patient subgroup data.
3 DR. BEYER: David Beyer, just a
4 comment. I didn't hear anything presented that
5 would make me think that this was not
6 generalizable. I didn't really hear much
7 discussion about FDA versus non-FDA, and I
8 don't think that the panel was thinking in
9 terms of this test versus that test. I think
10 that, you know, the thinking of the group was
11 fairly generalizable. Probably also, you know,

12 looking at site of service, I don't think, I
13 didn't hear anything that would make me think
14 universities are going to be able to do this
15 better today than community-based labs. And
16 similarly, I don't think this was age-specific
17 for patients, or Medicare-specific, I think
18 these were generic comments that the panel had
19 to offer.

20 DR. REDBERG: And I don't know that we
21 heard the data today, but I do wonder about the
22 reproducibility and variability data, because I
23 know that for some of the genetic testing,
24 there were studies where they sent the same
25 sample to different labs, and I didn't hear

00233

1 evidence either way on that.

2 DR. SEDRAKYAN: I think if anything,
3 it will add more uncertainty to what we've
4 already talked about, and will probably pull
5 our votes lower than how we voted.

6 DR. REDBERG: Dr. Rosenthal.

7 DR. ROSENTHAL: In preparing for
8 today, I have to say that I really learned an
9 awful lot that made the hair on the back of my
10 neck stand up a bit, and that was the
11 laboratory-developed test concept. I've served
12 on a PLIAC committee, I've worked with the FDA
13 on panels, Steve Gutman and I go back to when
14 we were children together, remember, in Poland?

15 DR. REDBERG: Last year?

16 DR. ROSENTHAL: Last year. The
17 thought of doing a test so critical to a
18 patient's wellbeing that didn't make it through
19 the FDA and the approval process, I think is a
20 joke. And those of you who know me know that I
21 don't mince any words and I really say this
22 from my heart, but to go ahead and develop
23 these potentially wonderful molecular-based
24 tests is one reason I haven't retired yet, it's
25 so exciting, this is what we have been working

00234

1 for all my career, all my life.

2 But not to go through a well
3 structured analytical validation,
4 reproducibility, et cetera, et cetera,
5 et cetera, and then a clinical trial. I don't
6 care whether it's not going to be designed, you
7 know, the way it should be because we can't get
8 the patients to sign on because they don't want
9 to be randomized. Modeling, there's all kinds
10 of other ways of getting at a very valid test,
11 a very well controlled test, so that any
12 laboratory anyplace can do it.
13 I think when there's a laboratory-

14 developed test and you have to send the
15 specimen in and a single laboratory does it,
16 that's a conflict of interest right there, and
17 I'm just really appalled. And I would say that
18 it's time -- I mean, these tests are becoming
19 very very esoteric, and attempting to have
20 centralized laboratories to do these tests
21 because it's economically more feasible. On
22 the other hand, it just, it gets into a real
23 quagmire of people ordering them who have an
24 investment in the laboratory, et cetera,
25 et cetera, and I think we really have to be

00235

1 very careful about this and look out for the
2 patients.
3 And advertising to the patients
4 directly, it should be the laboratories who
5 make the decision, along with the clinicians,
6 what test to order, and not the people who have
7 been watching late night television who come in
8 and say I want this test. Okay.

9 DR. REDBERG: Dr. Conley.

10 DR. CONLEY: I just want to make a
11 comment on the generalizability, just maybe
12 even a clarification. We have been requesting
13 evidence on clinical utility that is fairly
14 rigorous, but each platform would have to
15 generate the evidence on clinical utility, you
16 know, because one platform does not
17 automatically translate to all such tests of
18 the same kind.

19 DR. REDBERG: Dr. Nowak.

20 DR. NOWAK: So, I guess I should
21 comment. I am not shy about putting together a
22 laboratory-developed test and taking
23 responsibility for its validation. Many of the
24 molecular tests that are available now for
25 solid tumors, EGFR, all of these things that

00236

1 we've used for years, have been made available
2 as laboratory-developed tests, and there are
3 proficiency test results available that
4 demonstrate that the performance of
5 laboratories who do these tests do these things
6 very well.

7 On the other hand, the test that has
8 gone through the FDA, that does say something,
9 and so that kind of test does have some special
10 status. Maybe that test is not the best test.
11 There are examples of FDA-approved tests,
12 antibody testing for EGFR before you treat
13 colon cancers with Erbitux was not a good test,
14 so just because a test may have been approved
15 doesn't mean that it's a good test or it's a

16 bad test, or it's the best test. So it is
17 possible for laboratories to develop good tests
18 and validate them appropriately.
19 Several speakers this morning made the
20 comment that really through
21 immunohistochemistry with all the antibodies
22 that we use, that there's variation in how
23 these things are done and how they're performed
24 and how they are interpreted, and I think
25 there's an interesting parallel here.

00237

1 Antibodies, they became available gradually.
2 One at a time they would come out and as soon
3 as they became available, they were always the
4 best thing under the sun, they had the highest
5 sensitivity and the highest specificity for
6 this tumor or that tumor, and everybody started
7 purchasing the antibody and using them, and
8 then a year later you'd find out, well, it's
9 not really that sensitive, that specific.
10 But the difference is that those
11 things, those antibodies were always being
12 tested and retested by different entities, by
13 different laboratories and eventually we worked
14 it out. And laboratories do struggle to ensure
15 that performance of testing used for these
16 antibodies is uniform across all laboratories,
17 they do make that effort.
18 In this circumstance we have, these
19 are rather complicated tests and they're
20 developed by single laboratories who have a
21 proprietary interest in doing these things only
22 in their laboratories, so they don't benefit
23 from the kind of development that has gone on
24 with the development of monoclonal antibodies
25 for use in cancer assessment, that bias is sort

00238

1 of there.
2 And there's still -- I kind of made a
3 point this morning that it would be nice to see
4 a direct comparison, and I understand that
5 there's indirect comparisons and the tests seem
6 to compare very well, but nonetheless, if you
7 had things done in multiple places it serves as
8 a crosscheck, it allows for proficiency
9 testing, it stimulates improvements and further
10 development, and we don't quite get that here,
11 and I'm not sure if that doesn't require
12 addressing in some other manner, maybe some
13 better kind of evidence or maybe more evidence.
14 That's the question in my mind, but I don't
15 question that the laboratories who do these
16 things do them well, I think they can.
17 DR. REDBERG: Dr. Stecker.

18 DR. STECKER: Dr. Conley brought up
19 two points, one directly, one a little
20 indirectly that I would like to just comment
21 on. The first is that it's very difficult for
22 these highly complex proprietary tests to
23 generate outcomes evidence. You know, is it
24 practical when there's 30 different companies
25 that can't fund a big trial each, is it

00239

1 appropriate to report outcomes data? I would
2 say in the setting of something that is very
3 targeted for certain disorders and it results
4 in high risk treatments, I would say absolutely
5 you should require that.
6 Now in the setting of, the indirect
7 point is, you know, just laboratory testing in
8 general, what's the evidence that checking
9 serum potassium is useful? I mean, we check
10 serum potassium level for a million different
11 reasons, so it's completely impractical to have
12 outcomes data with regard to the outcome
13 improvements from checking a serum potassium,
14 but this is very different. This is a complex
15 genetic testing that's guiding, directing
16 therapy, so it's a very different concept.

17 DR. REDBERG: Dr. Sartor.

18 DR. SARTOR: I want to elaborate on
19 that a little bit. You know, a lot of the
20 testing that has recently been approved and
21 funded has been tied to very specific
22 therapeutic advance. So for instance, we could
23 look at the so-called ALK inhibitors in a
24 particular subset of non-small cell lung
25 cancer, and the companion laboratory test is

00240

1 married to that. And I think one of the things
2 that is a bit problematic from a developmental
3 perspective is we would like to see and would
4 really, I think everyone on the panel would
5 prefer to see clinical outcomes being affected
6 by the testing in a very clear manner.
7 Yet, the amount of testing, the
8 expense of follow-up for conducting such an
9 analysis may in fact be prohibited unless you
10 tie it to some therapeutic advance. So at the
11 same time that we're demanding more evidence, I
12 would like to sort of say with a caution that
13 the evidence we're going to demand is going to
14 be very costly and very time consuming, and
15 it's going to result in very expensive tests as
16 a consequence.
17 DR. STECKER: Public funding of
18 research would obviate that, so if there were a
19 mechanism whereby CMS directed indirectly

20 funded research or where NIH would sufficiently
21 fund it, there would be funding trials, or AHRQ
22 would sufficiently fund it, you could fund the
23 trials that were to maximize the public health,
24 which would be high valued things. What you're
25 talking about, things automatically becoming

00241

1 very expensive is because only the high profit
2 margin/high revenue things will get funded, not
3 only, but, you know, on average.

4 DR. SARTOR: The expectation of my
5 profit margin --

6 DR. REDBERG: I really look at it from
7 the point of view of taking care of our
8 patients and unless we're doing trials, even if
9 they're expensive trials, you know, we could be
10 harming patients, there could be net harm. In
11 this case we're talking about treating them
12 with very toxic drugs, shortening what may
13 already be a very short life and making it --
14 and that's a net harm. So I really look at it
15 from the patient's point of view, but you could
16 argue that we are spending billions of dollars
17 on treatments that we don't know are
18 beneficial, and so to say that is too expensive
19 to study is, I think, really not in that group
20 of patients at all.

21 DR. SARTOR: I was perhaps thinking of
22 the funding. I actually completely agree with
23 your point. I think that many of the patients
24 who receive CUP therapy, which is a
25 platinum-based therapy today, I realize the

00242

1 studies that it's going to take. I wish public
2 funding were an option, I anticipate NCI
3 funding cooperative groups, and we have to
4 really set a priority list and this hasn't been
5 a high enough priority. But, I mean, I want
6 more studies, absolutely, I want more studies.

7 MR. MARCINIAK: For me the question as
8 an economist, you know, we've talked about
9 randomized trials, and I think that's a great
10 way to get to a discrete answer. But we also
11 don't spend a lot of time talking about
12 (inaudible) and so when you think about the
13 pyramid, you think about the CTs, you think
14 about the observational studies and you think
15 about other decision models, there are
16 opportunities for us to use some of those tools
17 better than we currently do, and a good example
18 is with Sierra Medicare. We collect large
19 panels of data across a number of different
20 individuals, and some of these questions might
21 be elucidated from that if the registry part of

22 the questions were framed a bit differently.
23 You know, did you get a test, yes or no, what
24 type of test did you get, and then you start
25 building out what those pathways look like.

00243

1 That actually reduces the cost of doing some of
2 this and makes the answer perhaps not perfect,
3 but a little bit more accessible for groups
4 like us who are trying to wrestle with the
5 complex question.
6 So that would be one way to think
7 about this a little bit differently, just to
8 tilt the paradigm away from what we know to be
9 the high cost ticket where we would like to see
10 more government funding or we'd like to see
11 somebody pick this up, there are things that we
12 could go do more practically with things that
13 are in place.

14 DR. REDBERG: That does lead into the
15 next discussion question very nicely, and
16 that's to identify and discuss any evidence
17 gaps in assessing the outcomes of interest for
18 CMS, and those were the clinical outcomes that
19 I had read earlier for both the DNA- or
20 RNA-based testing for the tumor of origin in
21 CUP and the FISH testing for cervical cancer in
22 patients with ASCUS and LSIL.
23 So, I think we've had some discussion
24 on the evidence gaps. It seemed to focus
25 around more clinical outcomes, some laboratory

00244

1 testing. Eric, did you have some comments?
2 DR. STECKER: Yeah, just real briefly,
3 I'd just like to second that I feel like a
4 randomized trial, and actually I think
5 randomized trials are overemphasized, I don't
6 think they're at all practical. I echo what a
7 lot of you have said, in many cases once you do
8 it, how is it generalizable, you filter out so
9 many patients in a clinical trial that it
10 doesn't apply to clinical practice anymore, so
11 I would echo Dr. Marciniak's comments
12 completely. It wouldn't have taken much from
13 an observational well controlled trial
14 standpoint to move my vote out of a one up to a
15 three, for instance.
16 I know that oncology has a tremendous
17 registry like SEER, and I don't know the
18 mechanics of how this works, but with a good
19 registry system you really ought to be able to
20 match patients up who are getting different
21 treatments, and get a stronger idea of whether
22 it helps or not. In the absence of that I
23 don't think it's appropriate to rely on hope

24 because of what we've already talked about,
25 there's a lot of potential for harm.

00245

1 DR. MARCINIAK: You know, for us I
2 think it's the level of creativity. I mean,
3 the groups that are doing this type of evidence
4 research are very creative in establishing
5 these subgroups, and that's the reason why the
6 entire order today, when they looked at where
7 the puck was going, were they seeing more in
8 terms of abstracts or other things for public
9 knowledge absorption. And so oftentimes you
10 don't have a lot of information about the
11 pathology at the start, but the simulations
12 actually help get you there, because with, you
13 know, a bright group of people, physicians and
14 others, you can usually toggle between, you
15 know, do you think this will happen or do you
16 think that will happen, and you just build it
17 out and map it out and quantify it. So I think
18 there are a lot of opportunities with groups to
19 confront these things to help bring dialogue
20 better, because as an economist I hope for
21 threes and fours, but oftentimes I get
22 disappointed in the lack of creativity to help
23 frame the conversation that we're having, and
24 so I go back and reflect on the TARs, you know,
25 I got really disappointed looking at questions

00246

1 two and three because the stuff that is
2 meaningful to me just wasn't there.
3 DR. REDBERG: Dr. Beyer.
4 DR. BEYER: This isn't quite as bad as
5 being an orphan drug or orphan disease, but it
6 is a rare disease and it's one that's going to
7 be very hard to get enthusiasm from a lot of
8 the larger clinical trial organizations.
9 Radiation oncology has been very active in
10 setting up a registry, the National Radiation
11 Oncology registry is just getting on its feet.
12 I know ASCO has been doing something with a
13 registry, and registry studies, I think, are
14 going to be increasingly valuable in the years
15 to come.
16 I don't think it's going to help us
17 answer these particular questions, I think
18 these are too focused, too specific and too
19 granular, and I doubt that the registries are
20 going to be collecting data on these particular
21 questions, it's going to be very hard to do in
22 a registry. I think it will be very hard to do
23 in a randomized clinical trial. I do think
24 some clinical trials of better control groups
25 can be done and need to be done.

00247

1 I mean, clearly, what I want to see is
2 some convincing evidence that we made a
3 meaningful difference in terms of survival. I
4 want to see convincing evidence that we've
5 impacted the quality of life, that that
6 increased three months of survival is not spent
7 in the intensive care unit but is actually
8 something that patients can enjoy. Or, I would
9 be very convinced if we identify a patient who
10 just plain shouldn't be receiving cytotoxic
11 chemotherapy because we know it's not going to
12 work, I think that's a home run. You know, it
13 can take a lot of different forms, but there
14 are some meaningful outcomes that we can
15 identify.

16 DR. REDBERG: Art.

17 DR. SEDRAKYAN: I wanted to comment
18 about simply registry versus registry-based
19 study, just a clarification from our end.
20 Dr. Redberg and I were in a meeting certainly
21 with, organizing the meeting on registry
22 concept, making clear that the concept of
23 registry-based study comparative and matched is
24 different than having the registry address a
25 particular question.

00248

1 And I agree, Dr. Beyer, that certain
2 questions you can address with a registry, but
3 if you need a comprehensive answer you need to
4 design a study based on the registry but you
5 need to collect the additional information. So
6 let's treat the registry as that general
7 system, not necessarily to address a particular
8 question. I think that's an important
9 distinction in what we're arguing for, for
10 being a representative system even nationally,
11 but something that we can have and as with
12 anything, you can ask a lot of questions and
13 maybe get some preliminary answers, but not
14 specifically targeted to answer these kind of
15 questions, unless you design a study based on
16 the registry.

17 Another detail I wanted to comment on
18 is this resource use, that I think we need more
19 evidence, and this is an important gap. If the
20 test is applied early or used early, can it
21 reduce the additional workup and resource use,
22 and it wasn't clear to me. If I were to have
23 evidence today that it has potential and
24 document that it can lead to fewer MRIs, fewer
25 tests that are being done, even earlier in the

00249

1 process, I would think it would have

2 substantial outcomes even if they were not
3 patient outcomes, but really important outcomes
4 for a health care system in general.

5 DR. REDBERG: Dr. Wong and then
6 Dr. Howard.

7 DR. WONG: I do a lot of health
8 services research and so I'm aware of cancer
9 registries and the limitations thereof. I
10 think in the current system of cancer
11 registries there would be no way to collect
12 this type of data. I draw an analogy to the
13 very successful coverage with evidence
14 collection with the PET, where a separate
15 registry was established for the purpose of
16 actually gathering data to determine whether
17 the PET changed decision-making by clinicians.
18 And I think that's the key question here, is
19 whether this test actually changes the decision
20 made to treat, and with what drugs are being
21 used to treat. I think there's a tremendous
22 opportunity here to do that.
23 I think some of the onus probably
24 falls upon the laboratories doing these tests
25 as well, because at a certain point the test

00250

1 results get sent out and then it stops, and if
2 data were collected as to what clinicians do
3 with the test results, I think we'd gain a lot
4 from that, and I don't think that's currently
5 being done, I think it's being focused on
6 establishing a diagnosis, but we need data
7 after that as well.

8 DR. REDBERG: I'm going to comment on
9 what you said and then recognize another few
10 panelists. But I agree with you that the PET
11 registry was a good example of that, and that
12 does lead us to discussion question six, which
13 is what can CMS do to encourage development of
14 additional evidence relevant to these
15 questions, but I would suggest that I don't
16 really think change in treatment itself is a
17 sufficient outcome, because I can change
18 treatment based on no evidence and I can change
19 treatment and it would have no benefit to the
20 patient.
21 I think there actually has to be some
22 evidence that the change in treatment led to
23 better net outcomes for the patient, because I
24 hear the argument a lot, you know, I changed my
25 treatment. We change our treatments every day

00251

1 in medicine, and it's not always based on
2 evidence and it's not always good for our
3 patients, and if we want to use the data for

4 that, I think we should have a real clinical
5 output that is meaningful to the patients in
6 terms of things that help them feel better or
7 live longer.

8 DR. WONG: Right. I don't want you --

9 DR. REDBERG: Well, question two and
10 question three, I really think it does it
11 affect health outcomes one way or the other,
12 and question three was does it improve health
13 outcomes.

14 DR. WONG: You're right.

15 DR. REDBERG: So, does it improve
16 health outcomes should be the focus.

17 DR. WONG: So, I totally agree with
18 you. I don't mean to say that changing the
19 treatment should be the outcome being measured
20 here, but some outcome of interest having to do
21 with the genetic test could actually be easily
22 measured.

23 One thing that might be of interest
24 based on the discussion here was the value
25 added question, and that is, does the result of
00252

1 the genetic test actually change the final
2 call? In other words, if there was an IHC
3 call, a pathology result based on IHC, does the
4 genetic test actually change what the final
5 pathology is being called after somebody
6 already looked at IHC, just as an example. I
7 agree that for a genetic test like this, that
8 maybe the change in drug used or not used may
9 not be the correct endpoint. That was the
10 endpoint, you know, whether it changed the
11 decision, that was the endpoint for the PET,
12 but it certainly could be different here. I'm
13 not suggesting that we necessarily go that way,
14 but that is a thought that would allow us to
15 gain more evidence.

16 DR. REDBERG: Sure, and that's a good
17 example, I think in the PET registry CMS did
18 approach trying to gather more evidence.
19 Dr. Howard, then Dr. Stecker, then
20 Dr. Rizzo.

21 DR. HOWARD: I'd like to put in a word
22 for the much maligned historical control
23 studies, and we all know examples of those that
24 led to incorrect inferences. We saw an example
25 of a study like that today, but there were
00253

1 enough questions about the comparability that I
2 think we were reluctant to base our votes on
3 it.

4 But in any situation where you have a
5 sharp and sudden increase in the way the

6 patients are treated, that almost creates like
7 a natural experiment to look at the
8 effectiveness of that treatment, and there have
9 been examples where studies have taken
10 advantage of sharp breaks in treatment patterns
11 to identify the impact of treatment. So an
12 example would be, if you looked at colorectal
13 cancer, cases of metastatic cancer diagnosed
14 between the mid '90s and the mid 2000s, you
15 clearly see for patients receiving
16 chemotherapy, the survival curve starts to
17 creep up as newer therapies are introduced,
18 whereas for patients who are not treated with
19 chemotherapy, survival rates are flat.
20 So I do think there are some really
21 good opportunities here to exploit historical
22 data, but it obviously has to be well done and
23 trying to identify something like a concurrent
24 control group.

25 DR. STECKER: I was mistakenly called

00254

1 on, but when you're talking about a natural
2 history experiment, it is a very different
3 thing than what we've seen today. You know,
4 when you're taking a group of patients and
5 there's some natural thing that happens and
6 abruptly therapy changes, and then you can look
7 at the outcomes, that's very different from
8 taking a group of patients from one center and
9 saying how did the average patient follow at a
10 different point in time at different centers.

11 So, I would malign it again.

12 DR. REDBERG: Dr. Rizzo.

13 DR. RIZZO: So, I wanted to echo the
14 fact that we don't always have to be confined
15 to thinking about randomized clinical trials,
16 and we should be flexible about study design.
17 As the project director for a large outcomes
18 registry on transplantation, we've been able to
19 use that registry in order to in fact address a
20 CV question right now, and let's not forget
21 that registries help us plan better clinical
22 trials as well by understanding potential
23 effect sizes, enrollments, et cetera.

24 I think the things that we need to
25 understand better about these tests, and

00255

1 perhaps this is a bit summarizing what others
2 have said, but there's a much better
3 understanding of the incremental improvement in
4 the diagnostic, and that's getting at the value
5 added. I think that we shouldn't underestimate
6 the opportunity that we could make the
7 diagnosis more quickly with these tests, you

8 could do less testing which, it's possible, we
9 don't know, I think we need to learn that, or
10 these tests could have such a test profile that
11 they're actually more readily standardized
12 across all the clinical and pathological
13 studies that we're discussing, so all of that
14 is useful to have.
15 And then, I think to look at changes
16 in response rates by therapy assigned, looking
17 at changes in which therapy is assigned,
18 whether that affects the harm of the toxicity
19 of therapy, and of course we need to understand
20 better, that at least for those who have a
21 better response, that that improved their
22 survival, we made the assumption that
23 converting a patient from CUP to a patient with
24 metastatic disease is going to, metastatic
25 disease of a certain histology is going to give

00256

1 a better outcome, and I think we still have to
2 prove that.
3 DR. REDBERG: I'll make a comment
4 because we haven't talked very much about FISH
5 testing, that sort of what I got in terms of
6 additional evidence that we need from FISH
7 testing -- well, first of all, I got that we're
8 really doing pretty well with treatment of
9 cervical cancer, particularly in the US, and
10 we're doing pretty well with identification and
11 screening for cervical cancer, but that we, it
12 seemed to be even though we didn't really focus
13 on it, we're doing a lot of colposcopies that
14 were of unclear benefit, because we seem to be
15 doing colposcopy on a very early stage, you
16 know, on the atypical and the CIN, all of those
17 things in your algorithm seemed to lead to
18 colposcopy, which clearly has some harm,
19 anxiety, and probably leads to additional
20 procedures.
21 So in terms of evidence gaps, it seems
22 to me that we should be looking more in terms
23 of not just the testing, but should we, is
24 colposcopy as they're currently using it really
25 leading to clinical benefit or should we be

00257

1 doing less of it. I don't know whether we need
2 to be, I don't think that's going to affect the
3 Medicare population very much since most of the
4 incidents are within, at least in the under 65
5 age group, but that does seem to me to be an
6 evidence gap that was identified today besides
7 what we've already discussed with regard to the
8 FISH testing and how it fits in or adds, if
9 anything, to the testing we already have for

10 cervical cancer treatment.
11 But I think -- were there any other
12 comments? Yes, Dr. Sartor.
13 DR. SARTOR: You know, I think it's
14 also important to emphasize in addition to
15 things like colposcopy, I think there are many
16 instances where cytotoxic therapy has no
17 benefit, and I think we should be open to the
18 possibility that the treatment of patients with
19 standard of care raised more harm than benefit,
20 and that there is a possibility that these
21 tests could eliminate futile therapy. And I
22 was actually thinking for a number of these
23 metastatic cancers of unknown primary that our
24 standard platinum-based regimens bring more
25 harms than benefit, it's only for a subset

00258

1 where the opposite is true.
2 DR. BEYER: David Beyer. I just want
3 to make the points from your comment
4 specifically on the applicability of FISH in
5 the Medicare population, and it should not be
6 lost on anybody in this room that Medicare sets
7 the policy, or CMS sets the policy for Medicare
8 for the over 65, but there are a lot of people,
9 a lot of plans that follow Medicare's guide,
10 and while it is Medicare that they're talking
11 about, it has some halo effect.
12 Those patients who are 55 and having
13 atypia are the patients who are going to be
14 Medicare beneficiaries being treated for
15 advanced cervical cancer. So, you know, if
16 Medicare is looking for a reason to be
17 interested in this further as it develops, I
18 would argue that this makes a big difference
19 for Medicare in the preventative sense.
20 DR. REDBERG: Absolutely, and there
21 are Medicare beneficiaries that are under 65 as
22 well, because they come in through SSI
23 qualifications.
24 So, as I say, I think we all learned a
25 lot about genetic testing, both for cancer of

00259

1 unknown primary as well as cervical cancer. I
2 think we identified evidence gaps, particularly
3 with regard to the importance of clinical
4 trials and clinical outcomes, and understanding
5 how this testing helps our patients. I think
6 in terms of, we heard a lot of discussion back
7 and forth on randomized clinical trials as well
8 as registries, I think clearly we have some
9 examples of the PET registry and other
10 non-CMS-sponsored registries that have helped
11 inform treatment and could be modeled for

12 getting additional data in this area as well as
13 other cooperative clinical trials.
14 I would like to first of all thank
15 Maria, some of us would not be here without
16 Maria, and some would not leave here without
17 Maria either, so thank you. And James Rollins
18 and Louis Jacques, as well as all the
19 presenters and the panelists, thank you all. I
20 know this was a lot of work to go through
21 everything and we've had a lot of success and
22 we really -- I know for some of you, it was
23 your first time here, I thought everybody
24 really participated, engaged, and thought about
25 the questions really seriously, and on behalf

00260

1 of CMS and MedCAC, we thank you.
2 The meeting is now officially
3 adjourned.
4 (Whereupon, the meeting adjourned at
5 3:00 p.m.)

6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25