

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

CENTERS FOR MEDICARE AND MEDICAID SERVICES

Medicare Evidence Development & Coverage

Advisory Committee

August 22, 2018

Centers for Medicare and Medicaid Services

7500 Security Boulevard

Baltimore, Maryland

1 Panelists

2 Acting Committee Chair
Joseph Ross, MD, MHS

3 Committee Vice-Chair
4 Aloysius B. Cuyjet, MD, MPH

5 MEDCAC Members
Joseph S. Cheng, MD, MS, FACS, FAANS
6 Diane Civic, PhD, MPH, MSW
Naftali Z. Frankel, MS
7 Melissa M. Garrido, PhD, BS
Thomas F. Goss, PharmD
8 Thomas James III, MD, FACP, FAAP
Joel Lamon, MD, FACP
9 Carla Perissinotto, MD, MPH

10 Industry Representative
Shamiram Feinglass, MD, MBA

11 Guest Panel Members
12 Stephen Gottschalk, MD
13 Doug Olson, MD
James C. Yang, MD

14 Invited Guest Speakers
15 Elissa Bantug, MHS
Ethan Basch, MD
16 Iliia Ferrusi, PhD
William Go, MD, PhD
17 Paul Kluetz, MD
Claire Snyder, PhD

18 CMS Liaison
19 Tamara Syrek Jensen, JD

20 Executive Secretary
Maria Ellis

21

22

23

1	TABLE OF CONTENTS	
		Page
2	Opening Remarks	
3	Maria Ellis/Tamara Syrek Jensen, JD/ Joseph Ross, MD, MHS	4
4	Introduction of Panel	10
5	CMS Presentation	
6	Katherine Szarama, PhD	12
7	Guest Speaker Presentations	
	William Go, MD, PhD	19
8	Ilia Ferrusi, PhD	31
	Paul G. Kluetz, MD	42
9	Claire Snyder, PhD	68
	Elissa Bantug, MHS	72
10	Claire Snyder, PhD	78
	Ethan Basch, MD	96
11	Scheduled Public Comments	
12	Kathryn E. Flynn, PhD	123
	Karen Chung, PharmD, MS	129
13	Surbhi Sidana, MD	134
	Cori Abikoff, MD	139
14	Merav Bar, MD	145
	Gunjan L. Shah, MD, MS	149
15	Open Public Comments	
16	Mallory O'Connor	156
17	Questions to Presenters	159
18	Initial Open Panel Discussions	222
19	Formal Remarks and Voting Questions	260
20	Final Open Panel Discussion	296
21	Closing Remarks and Adjournment	303

22

23

24

25

4

1 PANEL PROCEEDINGS

2 (The meeting was called to order at

3 8:10 a.m., Wednesday, August 22, 2018.)

4 MS. ELLIS: Good morning and welcome,

5 acting committee chairperson, vice chairperson,

6 members and guests. I am Maria Ellis, the

7 executive secretary for the Medicare Evidence

8 Development and Coverage Advisory Committee,

9 MEDCAC. The committee is here today to focus

10 on the state of evidence on CAR T therapies,

11 CAR T cell therapies that are approved by the

12 Food and Drug Administration. We are seeking

13 the MEDCAC's recommendations regarding

14 collection of patient-reported outcomes (PROs)

15 in cancer clinical studies. The MEDCAC will

16 specifically focus on appraisal of

17 evidence-based PRO assessments to provide

18 information that impacts patients, their

19 providers and caregivers after a CAR T cell

20 therapy intervention for patient's cancer.
21 The following announcement addresses
22 conflicts of interest issues associated with
23 this meeting and is made part of the record.
24 The conflict of interest statutes prohibit
25 special government employees from participating

5

1 in matters that could affect their or their
2 employer's financial interests. Each member
3 will be asked to disclose any financial
4 conflicts of interest during their
5 introduction. We ask in the interest of
6 fairness that all persons making statements or
7 presentations disclose if you or any member of
8 your immediate family owns stock or have
9 another formal financial interest in any
10 company, including an Internet or e-Commerce
11 organizations, that develops, manufactures,
12 distributes and/or markets consulting, evidence
13 reviews or analyses, or other services related
14 to PRO assessments or CAR T-cell products.
15 This includes direct financial investments,
16 consulting fees, and significant institutional
17 support. If you have not already received a

18 disclosure statement, they are available on the
19 table outside of the room.

20 We ask that all presenters please
21 adhere to their time limits. We have numerous
22 presenters to hear from today and a very tight
23 agenda, and therefore, cannot allow extra time.
24 There is a timer at the podium that you should
25 follow. The light will begin flashing when

6

1 there are two minutes remaining and then turn
2 red when your time is up. Please note that
3 there is a chair for the next speaker, and
4 please proceed to that chair when it is your
5 turn. We ask that all speakers addressing the
6 panel please speak directly into the mic and
7 state your name.

8 For the record, voting members present
9 for today's meeting are Dr. Aloysius Cuyjet,
10 Dr. Joseph Cheng, Dr. Diane Civic, Mr. Naftali
11 Frankel, Dr. Melissa Garrido, Dr. Thomas Goss,
12 Dr. Thomas James III, Dr. Joel Lamon, Dr. Carla
13 Perissinotto. A quorum is present and no one
14 has been recused because of conflicts of
15 interest. The entire panel, including

16 nonvoting members, will participate in the
17 voting. The voting results will be made
18 available on our website following the meeting.

19 I ask that all panel members please
20 speak directly into the mic.

21 This meeting is being webcast via CMS
22 in addition to the transcriptionist. By your
23 attendance, you are giving consent to the use
24 and distribution of your name, likeness and
25 voice during the meeting. You are also giving

7

1 consent to the use and distribution of any
2 personally identifiable information that you or
3 others may disclose about you during today's
4 meeting. Please do not disclose personal
5 health information.

6 In the spirit of the Federal Advisory
7 Committee Act and the Government in the
8 Sunshine Act, we ask that the advisory
9 committee members take heed that their
10 conversations about the topic at hand take
11 place in the open forum of the meeting. We are
12 aware that members of the audience, including
13 the media, are anxious to speak with the panel

14 about these proceedings. However, CMS and the
15 committee will refrain from discussing the
16 details of this meeting with the media until
17 its conclusion. Also, the committee is
18 reminded to please refrain from discussing the
19 meeting topics during breaks or at lunch.

20 Please remember to discard your trash
21 in the trash cans located outside of this room.
22 Guests are prohibited from taking photographs
23 on the CMS campus. And lastly, all CMS guests
24 attending today's MEDCAC meeting are only
25 permitted in the following areas of CMS single

8

1 site; the main lobby, the auditorium, the lower
2 level lobby, and the cafeteria. Any persons
3 found in any area other than those mentioned
4 will be asked to leave the conference and will
5 not be allowed back on CMS property again.

6 And now, I would like to turn the
7 meeting over to Ms. Tamara Syrek Jensen.

8 MS. JENSEN: Thank you. Good morning.
9 One, I wanted to thank everyone for coming
10 today, this is an important meeting for us, and
11 thank you to the panel for surviving all of

12 their travel hardships last night in attending.
13 First and foremost, we are trying to
14 get the temperature lowered in here and the
15 humidity lowered in here, so hopefully that
16 will happen. Like you, I am also very warm, so
17 hopefully that will happen in the next half an
18 hour to an hour.

19 I know we're running a little bit
20 behind time so I am just going to cede my time.
21 Do we go to the chairperson, or do we -- oh
22 yeah, sorry, this is why she's here. Just a
23 reminder.

24 If you have not signed up -- we have
25 the invited public speakers. The folks that

9

1 would like to speak today that are not on a
2 list, there's a list out back, you need to sign
3 up before ten a.m. this morning. So please, if
4 you would like to speak, or you think you want
5 to speak in the next hour or two, please get
6 your name on a list out back, and then there is
7 also a disclosure form that you also need to
8 sign that Maria just talked about. And I will
9 continue to remind you of that deadline,

10 because it is a hard deadline, so that we can
11 then incorporate that into the agenda.

12 It is a full agenda, there are 23
13 voting questions, so we are going to make sure
14 that we move through this because we really do
15 need to get to those voting questions and we
16 want to hear what the panel has to say about
17 those voting questions, so that is why the time
18 is so important today.

19 So -- did I miss anything else? All
20 right. With that, I'm going to cede it to the
21 chairperson, Dr. Ross.

22 DR. ROSS: Hi. My name's Joe Ross,
23 I'm a general internist on the faculty of the
24 School of Medicine and Public Health at Yale.
25 Thank you to everyone for joining us today,

10

1 this is going to be a very exciting discussion
2 about this new therapy that's revolutionizing
3 clinical medicine in some respects.

4 I have been given a lot of advice
5 about how to coordinate this meeting. All I
6 can say is I will be very strict on time, it is
7 not personal, so if I cut you off, please,

8 please, please respect that, and it's because
9 I'm trying to give everybody an opportunity to
10 speak, there are many people who want to have
11 their say today, and we are very much looking
12 forward to that.

13 Maria, is now the time where we're
14 supposed to introduce ourselves and disclose
15 our conflicts?

16 MS. ELLIS: Yes.

17 DR. ROSS: Okay. So as I said, I'm
18 Joe Ross, on the faculty at Yale in the School
19 of Medicine, and I just, I have no personal
20 conflicts. I do want to note that my research
21 group at Yale receives funding from Johnson &
22 Johnson as part of a clinical trial data
23 sharing efforts, and we also receive funding
24 from CMS and FDA for research work, it's all
25 through Yale, and we formally receive funding

11

1 from Medtronic and the Blue Cross Blue Shield
2 Association.

3 DR. CUYJET: Hi, I'm Aloysius Cuyjet,
4 I have no disclosures to make.

5 DR. CHENG: Joe Cheng, chair of

6 neurosurgery at University of Cincinnati, no
7 disclosures.

8 DR. CIVIC: Hi, Diane Civic, no
9 disclosures, though I do work at Anthem.

10 MR. FRANKEL: Naftali Frankel, no
11 disclosures.

12 DR. GARRIDO: Melissa Garrido, no
13 disclosures.

14 DR. GOSS: Tom Goss, Boston Healthcare
15 Associates, so I'm a paid consultant but I have
16 not done any work in the CAR T area.

17 DR. JAMES: Tom James, senior medical
18 director at Highmark Blue Cross in Pittsburgh,
19 and I have no disclosures.

20 DR. LAMON: I'm Joel Lamon, no
21 disclosures.

22 DR. PERISSINOTTO: Carla Perissinotto,
23 no disclosures.

24 DR. FEINGLASS: Shami Feinglass, I
25 work for industry. We are not involved in

1 CAR T.

2 DR. GOTTSCHALK: I'm Steve Gottschalk,
3 a member of St. Jude Children's Research

4 Hospital. I have research support from a
5 company called Teva Therapeutics and I have
6 patent applications in the CAR T cell therapy
7 field.

8 DR. OLSON: Doug Olson, patient
9 advocate, no disclosures.

10 DR. YANG: James Yang, surgery branch
11 of the National Cancer Institute. The National
12 Cancer Institute has a cooperative research
13 agreement with Kite Gilead.

14 DR. ROSS: Great. And thank you for
15 every member of the panel for being here today
16 and to the CMS staff for helping to organize
17 this meeting.

18 I'm going to turn it over now for the
19 first presentation from CMS from Katherine
20 Szarama.

21 DR. SZARAMA: Good morning. Many
22 thanks to the panel in advance for your
23 consideration and helpful discussions today
24 around this important topic. Currently CMS is
25 reviewing the evidence on chimeric antigen

1 receptor (CAR) T-cell therapy in response to a

2 formal complete request for a national coverage
3 determination.

4 It is critical to identify the
5 information needed for beneficiaries to make
6 informed treatment decisions with their
7 providers. Therefore, today we will be
8 discussing the study, collection and
9 dissemination of health-related quality of life
10 with patient-reported outcome (PRO)
11 assessments. Specifically, the purpose of this
12 meeting is to obtain MEDCAC recommendations
13 regarding how existing PRO assessment tools
14 should be incorporated into future clinical
15 studies, including future clinical studies on
16 CAR T-cell therapy, and clinical study design
17 characteristics, study duration, and suitable
18 study controls. To this end, CMS has provided
19 background materials to support your assessment
20 of the strength of evidence on five voting
21 questions.

22 The first question requests your vote
23 on the confidence in the strength of evidence
24 validating seven PRO assessments that we will
25 describe here.

1 The patient-reported outcomes-common
2 terminology criteria for adverse events,
3 PRO-CTCAE, is a free assessment developed in
4 2008 to supply meaningful data and improve
5 understanding of symptomatic adverse events
6 from multiple disease states, based on the
7 hypothesis that collecting information directly
8 from patients improves the precision and
9 reliability of symptomatic adverse event
10 detection. Validity was based on comparison to
11 established scales and follow-up based on
12 outpatient clinical visits. Completion rates
13 were over 90 percent. Results showed 98
14 percent of PRO-CTCAE items were significantly
15 associated in the expected direction with
16 established assessments.

17 The M.D. Anderson Symptom Inventory
18 was developed in the year 2000 from established
19 brief pain inventory and brief fatigue
20 inventory to be specific to cancer patients.
21 It contains 13 core symptom items and six
22 interference items, with multiple formats
23 available. It contains, in addition to
24 validity testing, the MDASI and other quality
25 of life assessments were collected for

1 comparison at five event-dependent time points
2 in treatment for multiple myeloma and
3 non-Hodgkin lymphoma. Completion rate was 82
4 percent. Results showed symptom severity and
5 interference scores correlated with specific
6 treatment events, and were not sensitive to
7 demographics, diagnosis or laboratory measures.

8 The European Organization for Research
9 and Treatment of Cancer Quality of Life
10 Questionnaire, EORTC-QLQ-C30, was based on the
11 following six characteristics:
12 standardization, cancer specificity,
13 psychometric strength, practical application to
14 cancer clinical trials, appropriate
15 self-administration, and cross-cultural
16 applicability. The background materials
17 provided include a sample of the validation
18 work of this assessment, and has been
19 recognized in meta-analyses by Kotronoulas
20 et al. and colleagues as the standard measure
21 on which more recent assessments are compared.

22 The University of Washington Quality
23 of Life assessment was developed specific to
24 head and neck cancer patients based on three

25 desired characteristics; that the assessment

16

1 take less than ten minutes to complete, it is
2 simple to understand, and measures
3 health-related quality of life longitudinally.
4 Version four includes 12 domains, a single-item
5 quality of life question, and a free text
6 section. The background materials include
7 three publications measuring the application
8 and validation of this assessment. Results
9 showed 42 percent of UW Quality of Life items
10 were significantly associated in the expected
11 direction with the EORTC-QLQ-C30 summary score.
12 Completion rate was 79 percent.

13 The Patient-Reported Outcome
14 Measurement Information System, PROMIS, uses
15 item response theory and computerized adaptive
16 testing to build on existing items in the
17 patient-reported outcome and quality of life
18 instruments database with focus on the
19 following six desired characteristics: One,
20 appropriate context, the instructions
21 associated with answering the item; two, the
22 appropriate stem, the part of the item that

23 makes it unique; three, consistent response
24 options; four, minimal time spent answering;
25 five, instrument of origin; and six,

17

1 domain-specific rather than disease-specific
2 measurement. To this end, the modular
3 structure can be customized and administered
4 specific to each individual patient. The
5 background materials provided summarize the
6 clinical validity for nine PROMIS measures in
7 five PROMIS domains in over 1,000 patients
8 across six clinical conditions. The completion
9 rate was 95 percent.

10 The Electronic Self-Report Assessment
11 in Cancer is not a single assessment, but an
12 electronic self-report method that contains
13 questions regarding common cancer symptoms and
14 quality of life measures, including the
15 EORTC-QLQ-C30 and patient health questionnaire,
16 PHQ-9. The background materials provided
17 summarize the impact of such methods, where
18 ERSA-C scores seem to communicate patient
19 health-related quality of life, and reduce
20 symptom distress with additional engagement

21 that did not increase time during an outpatient
22 clinic visit.

23 Lastly, the Functional Living Index in
24 Cancer was validated in a study by Schipper and
25 colleagues as compared to Karnofsky, Beck

18

1 Depression, Spielberger State and Trait
2 Anxiety, and Katz Activities of Daily Living,
3 as well as the general health questionnaire and
4 McGill's pain index. The assessment was
5 designed to assess the overall functional state
6 of the patient with the following desired
7 characteristics: One, cancer specificity; two,
8 functional orientation; three, patient
9 self-administration; four, high compliance;
10 five, reproducibility; six, sensitivity to a
11 range of clinical practice and intensity of
12 therapeutic intervention; seven, face, content,
13 construct, and concurrent validity and
14 reliability.

15 Question two asks for the
16 consideration of evidence on the following
17 desired characteristics of a PRO assessment:
18 Breadth of measures in emotional, social and

19 physical well-being; quick throughput to apply
20 to clinical study; transferable to community
21 practice settings; measures that are not
22 sensitive to differences in age; line of
23 therapy; comorbidities; and that are
24 generalizable to study of combinations of
25 therapies; used in a net benefit analysis based

19

1 on symptom burden and well-being.
2 Additional sources of consideration
3 include the Alliance For Clinical Trials in
4 Oncology and other partners' recommendations
5 for geriatric oncology research, recognizing
6 that elderly patients are less willing to
7 compromise on health-related quality of life
8 and prefer to maintain function and
9 independence during cancer treatment and
10 management, according to these authors. We
11 also ask for your discussion of two following
12 questions regarding patient-reported outcome
13 assessments and other desired characteristics.
14 Question three asks for your
15 consideration of an appropriate measurement
16 period for a valid PRO assessment.

17 Question four asks for your
18 consideration of an appropriate measurement
19 duration for a valid PRO assessment.

20 Question five asks for your
21 consideration of an appropriate control
22 population for a valid PRO measurement.

23 Thank you.

24 DR. ROSS: Thank you, Dr. Szarama.

25 Our next speaker is Dr. William Go, the vice

20

1 president of clinical development at Kite.

2 DR. GO: Hi, my name's William Go.

3 I'm the vice president of clinical development

4 at Kite, a Gilead company. First of all I want

5 to take this time to thank the committee for

6 the invite to speak at this, as well as to

7 introduce chimeric antigen receptors and the

8 discussions in terms of patient-reported

9 outcomes. My background is I'm a PhD in

10 T-cells and I'm a hematology oncologist and BMT

11 by training.

12 So Kite, a Gilead company, is

13 committed to the research and committed to

14 discovering and developing a novel T-cell

15 immunotherapy for patients with unmet needs,
16 especially in the cancer space. And we are
17 committed, and we applauded CMS and FDA on
18 patient-reported outcomes, and we recognize the
19 importance of incorporating PROs in our own
20 drug development process and in the overall
21 assessments. While Kite recognizes the
22 importance of PROs in the measurement of
23 clinical trials, the PRO CAR T science where
24 these interests are most appropriate for CAR T
25 remains still to be determined and still is

21

1 evolving, and still is quite early in the
2 development.

3 Today I want to first introduce the
4 CAR T therapy of YESCARTA as well as the
5 transforming technology of CAR T, which has
6 shown huge benefit for patients who have
7 exhausted all therapies in large cell lymphoma,
8 and have not had any other options in standard
9 of care therapy.

10 So, I know this is a busy slide and I
11 know we have limited time, and so what we'll do
12 is first talk about what chimeric antigen

13 receptors are. Chimeric antigen receptors are
14 where you have a, where you take a patient's
15 own T-cell in an autologous setting and
16 reprogram them to express a chimeric antigen
17 receptor where it is an antibody specific to
18 the target antigen, in this case CD-19, as well
19 as then costimulatory domains and also signal
20 one and two, in this case it's CD3-zeta, as
21 well as CD28.

22 This was recently FDA approved in
23 October of 2017, and these were in patients
24 that we studied in refractory large B-cell
25 lymphoma. These are patients that usually have

22

1 less than six months left to live and in cases
2 where we normally, I would be having hospice
3 discussions with these patients. This is
4 intended to be a one-time infusion, and it's
5 limited at certified healthcare facilities who
6 have BMT cell therapy experience. So this
7 turnaround process of a patient's own truly
8 personalized medicine is approximately 17 days
9 from door to door, and these patients really
10 have very limited time in their disease state

11 in terms of their outcomes and their overall
12 survival. So any delay in this process from
13 evaluating the patient, collecting their
14 apheresis, their T-cells, to engineering their
15 T-cells, to then returning them, conditioning
16 chemotherapy and infusion, and then ultimate
17 recovery is very key, every day matters for
18 these patients.

19 And we are also in the post-approval
20 commitment to the FDA as well as others,
21 working with others which we will talk about in
22 terms of registries and future investigations
23 of PROs.

24 For the sake of time, I won't go
25 through this study design but just, again, this

23

1 has been published in the New England Journal
2 of Medicine. High level, we only study
3 patients with refractory, chemo-refractory
4 large B-cell lymphoma. Again, in the SCHOLAR I
5 retrospective global analysis, these patients
6 only have approximately six months to live,
7 with only a seven percent complete response
8 rate, as well as a 26 overall response rate.

9 As you can see in the updated analysis
10 to the right, that with a median follow-up time
11 of 15.4 months, with everyone having an
12 opportunity to have one year of long-term
13 follow-up, the best overall response in this
14 case after one dose of cellular immunotherapy
15 of CAR T, of axicabtagene ciloleucel, was 82
16 percent with a complete remission, meaning no
17 evidence of cancer throughout the trial at one
18 point at 58 percent. At the time of the data
19 cutoff, 42 percent of the patients still had
20 ongoing durable remission, with 40 percent
21 having complete remission.

22 This data is unprecedented, especially
23 in this patient population. And what, again, I
24 wanted to highlight is that in this kind of
25 refractory patient population, that this

24

1 benefit-risk has been reported as positive, and
2 this is why this is the basis of our full
3 approval, regular approval with the FDA.

4 Of course in oncology as you know,
5 with the box warning, we have two predominantly
6 box warnings which is very consistent across

7 the CAR T space, as well as other T-cell
8 targeted spaces, which is both cytokine release
9 syndrome and neurologic events. Cytokine
10 release syndrome is pretty much on target with
11 what we expected because we are reengineering
12 someone's own immune system to fight their
13 cancer, and what we can see is that even though
14 that their Grade 3 and higher events are
15 clearly significant, that this is generally
16 reversible and generally self-limiting.

17 The median time of hospitalization in
18 our trial was approximately 14 days, and we
19 already reported at scientific forums that
20 predominant long-term side effects have really
21 been very well managed, consistent with
22 autologous stem cell transplant and really
23 focusing more on other aspects such as
24 infectious risk, which is consistent with the
25 B-cell aplasia that you do see in CAR T.

25

1 Again, though, this is truly different compared
2 to what you would see typically in end stage of
3 the large cell lymphoma.

4 Now to the Medicare population. As

5 you all know, the median age of diagnosis of
6 large cell lymphoma is 58, and so therefore you
7 would expect to see a fair amount of patients
8 over the age or equal to 65. In our pivotal
9 study we had 25 percent of them at age 65 or
10 older. What is remarkable, which is very
11 different than auto and allogeneic stem cell
12 transplant is we did not put age limits on our
13 trial or any of our trials. In fact, we just
14 really focus on the performance status of ECOG
15 PS zero or one, but you can see that we
16 actually treated on trial up to 76 years old,
17 and currently we have been in the commercial
18 setting, we had patients up into their 80s.

19 What you can see here is the
20 observable overall response rates are very
21 similar in patients greater than 65 in age
22 compared to the overall ZUMA-1 population.
23 Specifically, over objective response rate was
24 89 percent versus 82 percent in the greater
25 than 65 years old, the complete response rate

26

1 was 70 percent versus 58 percent, and the most
2 important part, the durable remission rate was

3 48 percent versus 42.

4 Interestingly, compared with the
5 patients for safety, the patients greater or
6 equal to 65 had a lower incidence of SAEs and a
7 lower incidence of Grade 3 or higher
8 infections. However, a higher incidence of
9 Grade 3 or higher neurologic events, as you can
10 see here. The higher incidence of neurologic
11 events in subjects greater than or equal to 65
12 years of age was driven by events that would be
13 expected to more frequently afflict the greater
14 than 65-year-old patient population in general,
15 such as delirium, agitation, and disturbance in
16 attention.

17 So now we're pivoting to what we were
18 really asked for in terms of the committee, and
19 what I wanted to highlight is that in the
20 post-market commitment setting to the FDA, Kite
21 Gilead is committed to patient safety and
22 following patients after treatment, and agreed
23 to this extensive post-market registry. As a
24 former transplant myself, and our CIBMTR
25 colleagues in the room, we felt that CIBMTR is

1 the right group and forum to do this registry
2 study.

3 Just to take a step back for people
4 who are not aware, in America we have the
5 American Society of BMT. With the American
6 Society of BMT, we have other organizations
7 that support this as well as FACT accreditation
8 for cellular therapy, as well as the Center for
9 International Blood and Marrow Transplant
10 Research, or CIBMTR.

11 The registry objectives are quite
12 clear. You can see that we're really looking
13 to make sure that we have long-term safeties,
14 especially around these cellular therapy
15 products, and this registry may include data
16 from other countries as well and is modular,
17 and there's a steering committee to oversee the
18 registry, and the steering committee is made up
19 of representatives of key certified sites,
20 opinion leaders and experts in the field, as
21 well as us, Kite, as the manufacturer.

22 DR. ROSS: Dr. Go, you have five
23 minutes more.

24 DR. GO: Yeah, two slides left, or
25 three slides left.

1 I wanted to go to the data elements.
2 Because of the five minutes left, I'm not going
3 to go through the data elements here, but I
4 just wanted to show you that this is robust, we
5 just wanted to show you that this is clearly
6 robust and that we thought about everything,
7 and this has been in discussions with the FDA
8 as well as other PRO experts, as well as other
9 people in the field. Okay.

10 So, where are we with the registry?
11 The registry has been enrolling patients as
12 early as March of 2018. All 61 certified sites
13 for YESCARTA are also registered as CIBMTR
14 centers. As of July 6, 2018, you can see that
15 220 cell orders shipped since the registry
16 enrollment, and 82 YESCARTA recipients were
17 reported in the registry. You can probably see
18 what's that delay, this is pretty standard as a
19 former transplant, that because of the data
20 resources and the man or woman power resources
21 to enter the data, that we usually do this in
22 either a monthly or quarterly fashion, so this
23 is actually expected based on that period of
24 time. So we are clearly looking to make sure
25 that we don't have any substantial missing

1 data, and to ensure that the enrollment is
2 going to be clear, and expected to be at 90
3 percent based on previous registry experience.

4 We are using PROs in our ZUMA trials
5 right now. Again, PROs are highly variable,
6 and they may be specific to disease state or
7 disease-specific AEs. We are doing this in
8 ZUMA-1 and in single arms of cohorts three and
9 four, but more importantly, we are doing this
10 in ZUMA-7, our randomized controlled trial. We
11 feel at Kite Gilead that PROs are best served
12 and best interpreted in a prospective
13 randomized Phase III setting, especially
14 globally, and this is the first to my
15 knowledge, the largest randomized global
16 Phase III study in terms of CAR T, and we're
17 looking at axi-cel in terms of in second line
18 as compared to the standard of care in second
19 line. Right now the FDA approval is after two
20 systemic lines of therapy; this is after one
21 systemic line of therapy, and we're building in
22 PROs prospectively.

23 So as my final slide, the Kite

24 experience with PROs to date, Kite generally
25 uses PROs. However, again, we feel very

30

1 strongly that it's best served in the
2 randomized Phase III setting. As several CAR T
3 randomized cell trials are underway with
4 different PROs selected for further data
5 collection and randomized controlled trials is
6 warranted to consider the best instrument
7 selection or modification and appropriate
8 timing of assessments, which are key as PRO
9 instruments, and their applicability including
10 scientific rigor are still evolving. The
11 context of CAR T therapies, we feel PROs are
12 not quite ready for real world coverage
13 decisions at this time.

14 Therapies like YESCARTA are
15 transformative and considered one-time, and
16 would have huge clinical benefit for patients
17 with limited options. These patients have
18 advanced disease and dismal survival times, and
19 without access to CAR T therapy would be
20 detrimental for patients.

21 Kite is dedicated to continuing

22 follow-up of YESCARTA patients, and therefore
23 have an extensive multiyear post-market
24 registry as well as a strategy of examining
25 PROs prospectively. PROs are a valuable tool

31

1 and Kite is committed to their study and their
2 value in cancer care. However, we do not
3 believe PRO data collection should be linked to
4 coverage for these transformative therapies at
5 this time. However, we welcome the opportunity
6 to partner with CMS as well as other experts in
7 the field and key stakeholders to explore these
8 topics further.

9 Thank you very much for your time.

10 DR. ROSS: Thank you, Dr. Go. Our
11 next speaker is Dr. Ilia Ferrusi, from US
12 Oncology, Novartis.

13 DR. FERRUSI: Thank you, Dr. Ross.

14 Okay, I have my slides. It is my distinct
15 pleasure to be here today to speak to this
16 MEDCAC committee on behalf of Novartis, in
17 particular in the context of these
18 groundbreaking innovative CAR T therapies that
19 are changing the course of treatment for

20 patients who have exhausted all of their
21 options. I'm very grateful to be here to
22 continue this discussion and to ensure patient
23 access.

24 Before getting to the topic at hand, I
25 would like to share that, a bit of my

32

1 background with the MEDCAC committee. I'm a
2 paid employee of Novartis and I do hold an
3 interest in the company. In terms of my
4 training, I did receive PhD training at
5 McMaster University in health research
6 methodology with a specialization in health
7 technology assessment, and I've worked in the
8 pharmaceutical industry five years. My
9 research experience spans a total of seven
10 years in both the academic and industry
11 settings. During my time in industry, I've
12 supported the inclusion of the patient's voice
13 in the drug development process through the
14 development, validation, and interpretation of
15 patient-reported outcomes in ophthalmology,
16 dermatology, aesthetics, women's health, and
17 oncology.

18 For the benefit of today's panel, I
19 would like to share Novartis' experience with
20 patient-reported outcomes in clinical studies
21 of Kymriah, the first approved CAR T therapy.
22 This may benefit CMS and the panel as it
23 considers whether patient-reported outcomes
24 should be included in future clinical studies
25 of CAR T clinical research.

33

1 A patient-reported outcome consists of
2 any measurement based on a report coming
3 directly from the patient regarding their
4 health or their condition without
5 interpretation, any interpretation of that
6 report by a clinician or anyone else. Novartis
7 collected such PRO data during its registration
8 studies of Kymriah in leukemia and lymphoma to
9 specifically measure changes in
10 patient-reported disease burden and its impact
11 on quality of life. This information was in
12 turn provided to regulators to inform the
13 risk-benefit analysis of Kymriah as an
14 investigational medicine. However, with the
15 approval of Kymriah for both leukemia and

16 lymphoma, we are concerned about the burden of
17 additional PRO data collection on both patients
18 and providers beyond the context of
19 registration studies for these very sick
20 patients.

21 Kymriah is the first approved CAR T
22 therapy, having received two FDA approvals in
23 the past 12 months. The first was for
24 treatment of patients up to 25 years of age
25 with B-cell precursor acute lymphoblastic

34

1 leukemia that is refractory or relapsed after
2 second line therapy. So again, this is a
3 patient population who have exhausted all
4 standard of care treatment options.

5 Our second approval was for adult
6 patients with second line or later relapsed or
7 refractory large B-cell lymphoma including
8 diffuse large B-cell lymphoma, high grade
9 B-cell lymphoma, and diffuse large B-cell
10 lymphoma resulting or arising from follicular
11 lymphoma.

12 Kymriah is available for both approved
13 indications through a restricted risk

14 evaluation and mitigation strategy program via
15 a network of certified treatment centers, and
16 it may be administered on an outpatient or
17 inpatient basis. For both approved
18 indications, these relapse refractory patients
19 have a very poor prognosis with prior
20 therapeutic options. They also experience
21 substantial disease burden, which motivated us
22 to incorporate patient-reported outcomes to
23 measure changes in that disease burden and
24 quality of life from the patient perspective in
25 our registration studies. For the purposes of

35

1 today's MEDCAC I will focus on our PRO
2 experience in that diffuse large B-cell
3 lymphoma population, which bears the greatest
4 relevance for Medicare patients.

5 Standard of care in second line
6 diffuse large B-cell lymphoma consists of high
7 dose chemotherapy with autologous stem cell
8 transplant, but as many as three-quarters of
9 those patients may not be eligible to undergo
10 the transplant procedure for various reasons.
11 While salvage chemotherapy could be an option

12 for these patients, response rates remain low
13 and survival is quite short. Taken together,
14 the prognosis is grim for these patients who
15 are relapsed or refractory after first line
16 treatment. Approximately 21 percent will
17 survive to 18 months following their relapse.

18 We'll skip ahead to our DLBCL slides
19 here. The safety and efficacy of Kymriah in
20 DLBCL was established in the JULIET study, a
21 single-arm multinational registration trial
22 that enrolled patients from the U.S. and nine
23 other countries in North America, Europe and
24 Asia Pacific. Efficacy was measured as best
25 overall response rate comprised of both

36

1 complete and partial response. With a median
2 follow-up of 14 months, Kymriah demonstrated a
3 best overall response rate of 52 percent.

4 The most common adverse events in
5 JULIET included some of those that are well
6 known and the previous speaker did address,
7 cytokine release syndrome and neurologic
8 events, but also prolonged cytopenia,
9 infections, and febrile neutropenia. In our

10 clinical study, most adverse events were
11 resolved within the eight weeks of treatment.
12 Now to patient-reported outcomes.
13 With the objective to measure disease burden
14 and quality of life, the functional assessment
15 of cancer therapy for lymphoma, or FACT-Lym,
16 was administered in the JULIET study. We
17 selected this specifically because it's a
18 disease-specific PRO measure that was developed
19 and validated specifically for use in adult
20 lymphoma patients. This is of particular
21 importance to Novartis as we sought to collect
22 information from patients using measures that
23 have established validity and reliability for
24 the specific disease context being studied, and
25 required a measure that had been translated and

37

1 validated in several different languages, given
2 the multinational nature of our study.
3 The FACT-Lym includes four cancer
4 subscales from the FACT-G measure, which is a
5 general cancer measure, and that includes
6 physical, social, emotional and functional
7 well-being over the past seven days, and it

8 also includes a lymphoma-specific subscale
9 containing items that are particularly relevant
10 for lymphoma patients and the symptoms that
11 they experience. We administered the FACT-Lym
12 at screening and at follow-up months three,
13 six, 12, 18 and 24. This administration
14 schedule was designed specifically with the
15 intent to measure changes in disease burden,
16 not exactly the burden of treatment, while
17 minimizing the patient's questionnaire burden.

18 Here are some of our results. As
19 compared to baseline scores, we observed
20 clinically meaningful improvements in
21 patient-reported quality of life at months
22 three and six of follow-up among those patients
23 achieving a partial or complete response.
24 These improvements met thresholds of clinical
25 importance despite the initial toxicity

38

1 experienced after a one-time treatment.
2 Importantly, this PRO data proved useful in
3 corroborating the clinical data that we also
4 observed, indicating that clinical improvements
5 were associated with corresponding improvements

6 in quality of life for these patients.

7 It's also worth noting here that data
8 missingness was highest among nonresponders, as
9 these patients left the clinical trial for
10 additional treatment elsewhere, and this is
11 very much reflective of real world practice as
12 well. This created challenges for interpreting
13 PRO data and should be a key consideration for
14 future clinical studies of CAR T and its
15 alternatives.

16 Follow-up does continue for this JULIET
17 study population, with data for months 12, 18
18 and 24 forthcoming.

19 We also used the Short Form 36
20 questionnaire to measure health-related quality
21 of life. You may be more familiar with this,
22 it is among the most widely used questionnaires
23 owing to its more general nature, which
24 facilitates comparison to other diseases. This
25 was also requested by regulators outside of the

1 United States.

2 Again, here we observed clinically
3 meaningful improvements on the Short Form 36

4 across general health, bodily pain, vitality,
5 physical functioning, role-emotional,
6 role-physical and social functioning subscales,
7 with only the mental health subscale not
8 showing a clinically meaningful improvement
9 over baseline. Again, these findings did
10 corroborate improvements in clinical endpoints
11 as well as improvements noted in the FACT-Lym.

12 A bit about PROs and incorporating
13 them in your research. To support the
14 collection and interpretation of PRO data,
15 there was significant effort and investment
16 made to prepare for PRO administration,
17 collection of PRO data, and reporting of such
18 data. I won't go into every point on this
19 slide, it is extensive, but I wanted to be able
20 to share this with the audience for
21 consideration.

22 The extensive training, infrastructure
23 and analytic expertise needed to support the
24 meaningful inclusion of PROs in clinical
25 research bears important consideration for

2 committee may be considering. Many of these
3 needs, the infrastructure and whatnot, do exist
4 in the context of clinical trials for
5 registration purposes, but outside of this
6 setting may require additional investment to
7 ensure appropriate instrument selection,
8 administration and interpretation. In the
9 context of CAR T studies that does that would
10 necessarily impact both the sites where CAR T
11 is administered, as well as any subsequent
12 location that the patient may go to for their
13 follow-up care and for the comparators, which
14 may not be at one of these FACT-accredited
15 sites.

16 So therefore, we do urge caution to
17 this MEDCAC panel in its mission to identify a
18 PRO measure for future CAR T studies. Although
19 CAR T therapies are currently approved for
20 relapsed refractory diffuse large B-cell
21 lymphoma, applications may include other
22 relapsed refractory populations in the near
23 future, including adult acute lymphoblastic
24 leukemia, chronic lymphocytic leukemia,
25 multiple myeloma and follicular lymphoma in the

1 future. Symptoms, adverse events and burden
2 may vary across these diseases, which vary also
3 in their acute versus chronic nature, and that
4 may necessitate the use of different measures
5 depending on research objectives.

6 This is just one of the important
7 contextual factors that makes it challenging to
8 advise the panel on a single PRO measure for
9 all future studies of CAR T in advanced
10 cancers. Moreover, it is important to clearly
11 define research objectives when considering
12 whether to collect patient-reported outcomes
13 data, particularly given the burden associated
14 with advanced disease experienced by the
15 patients that are likely to receive this
16 therapy. Despite the significant support of
17 our registration study and the infrastructure
18 therein, we experienced challenges collecting
19 PRO data, which resulted in missingness and
20 risks to data quality and interpretation. This
21 could very well be amplified in larger trials
22 or in real world practice.

23 In closing, I would like to thank the
24 committee for the opportunity to share our
25 experiences, and I look forward to addressing

1 questions later in this meeting.

2 DR. ROSS: Thank you, Dr. Ferrusi.

3 Our next speaking is Dr. Paul Kluetz, the
4 associate director of patient outcomes at the
5 Oncology Center of Excellence at the FDA.

6 DR. KLUETZ: So, good morning, my name
7 is Paul Kluetz and as was mentioned, I'm a
8 medical oncologist currently serving in the
9 U.S. Food and Drug Administration, serving as
10 an associate director in the newly formed
11 Oncology Center of Excellence, and I've been
12 leading efforts to formulate a patient-focused
13 drug development effort for oncology that will
14 cross the centers with the advent of the 21st
15 Century Cures Act. I have no financial
16 relationships to disclose. I will be using
17 several examples of PRO instruments within my
18 slides, mainly to illustrate important
19 characteristics that we think about for the use
20 of PRO data for regulatory review. These are
21 examples and not blanket endorsements of one
22 instrument over another, and we definitely
23 encourage sponsors to come and talk with us
24 about which type of tool they want to put in

25 their trial.

43

1 So, I think it's important, and we'll
2 probably hear about this more today, that I'm
3 only one stakeholder, or I represent only one
4 stakeholder. As you can see, data from
5 controlled clinical trials are used for many
6 different stakeholders, and especially when
7 there's only one clinical trial usually at the
8 time of approval in cancer, and so part of the
9 challenge in designing a PRO strategy for
10 industry is that they need to meet the needs of
11 all these different stakeholders who may have
12 different needs, and this is why the FDA
13 oncology group and the work that I've led has
14 included domestic and international payers, as
15 well as international regulators, industry and
16 importantly, patients, in our efforts to try to
17 standardize the field.

18 So, the outline for my presentation is
19 as follows. I'll introduce some definitions.
20 Because this is a unique research space, it has
21 its own language, and I want to make sure we're
22 all on the same page. I will talk about

23 clinical outcomes. I'll talk about an effort
24 we've led to provide some core concepts to
25 measure as an expectation to create some

44

1 standardization. I'll go on and talk about
2 measurement tools, how do we measure these
3 concepts and what do we use for characteristics
4 for patient-reported outcome tools. And then
5 I'll talk about importantly endpoints, what
6 questions are we asking, because if we don't
7 know what questions we're asking, we can't
8 formulate the correct endpoint to answer that
9 hypothesis.

10 So in this slide I'll start to use
11 some common terminology. So, a concept is any
12 aspect of an individual's clinical, biologic,
13 physical or functional state or experience,
14 it's the broadest of things that you start with
15 in your trial. A concept can be clinical, like
16 pain, function or survival, or it can be
17 nonclinical, like a biologic pharmacodynamic
18 biomarker or PSA. When your concept is
19 something that describes how someone feels or
20 functions or survives, it's called a clinical

21 outcome, and this has important regulatory
22 implications because we provide regular
23 approval based on clinical outcomes that are
24 viable in how a patient feels or functions.
25 And if we're not looking at survival, we're

45

1 looking at fields and functions that we use a
2 clinical assessment, and one of these types of
3 assessments is the patient-reported outcome
4 which we will talk about today.
5 Finally, a test or tool or instrument
6 is actually the assay that you use to measure
7 your clinical outcome, and in the case of
8 patient-reported outcomes, this is the
9 questionnaire and its scoring manual. And an
10 endpoint is the most precisely defined variable
11 that you're really talking about, intended to
12 reflect an outcome of interest that's
13 statistically analyzed if you want to make a
14 claim of treatment benefit to address a
15 particular research question.

16 All these definitions are available at
17 this link that I've provided, which was an NIH
18 and FDA collaboration to create some standard

19 terminology called the best philosophy.
20 So just to walk down this terminology
21 example, you may be interested in pain in your
22 clinical trial, the concept of interest is
23 pain. Pain is a measurement of how someone
24 feels, so it is a clinical outcome assessment
25 that measures pain is considered to be a

46

1 patient-reported outcome because patients are
2 usually in the best position to quantify a
3 non-observable symptom. The PRO instrument you
4 might want to use could be the brief pain
5 inventory, it's got 15 questions, but you're
6 not going to use the whole thing, you might
7 only use one question as your endpoint, and so
8 your endpoint could be a two-point decrease in
9 the third question, worst severity in 24 hours,
10 of this questionnaire, that's seen and
11 confirmed by a second result two weeks later
12 with no increase in analgesic use. So I only
13 put this up to show you how specific the
14 endpoint can be in order to really create a
15 well defined and reliable assessment.
16 So as we've seen, a clinical outcome

17 is a measurement of how someone feels,
18 functions or survives. I've said overall
19 survival is, or I should say that overall
20 survival is a common endpoint we use, and if
21 you're not measuring that, you're measuring
22 symptoms or function. These are the four types
23 of categories of clinical outcome assessments
24 that we can use and they really have everything
25 to do with the source of the data, where is the

47

1 data coming from.
2 A clinician-reported outcome comes
3 from the clinician after a history and
4 physical; for instance, safety is reported in
5 clinical trials as a clinician-reported outcome
6 typically. Observation-reported outcomes are,
7 say, a parent reporting on an infant on an
8 observable sign. Performance outcomes is like
9 a six-minute walk test where you're asking a
10 patient to perform something. And then
11 patient-reported outcomes are as was just
12 described, a questionnaire, and it's
13 information coming directly from the patient
14 without interpretation or change by a clinician

15 or anyone else. So when I ask you what's your
16 pain and you say it's eight out of ten, that's
17 the answer, it's an eight, and that's how we
18 use it for our endpoint.

19 Increasingly and interestingly,
20 there's a fifth type of data stream that we're
21 starting to see more often, and this is mobile
22 technology tools like sensors and wearables,
23 and I think this holds promise to complement
24 patient-reported outcomes especially with
25 respect to physical function. It's certainly

48

1 an area evolving in regulatory and scientific
2 interest, and we're working in several
3 collaborations to try to understand this
4 better.

5 My personal view on wearables is that
6 for concepts like physical function, I think it
7 can complement patient-reported outcomes data,
8 and I think both used together could provide
9 internal validation and be quite powerful.

10 So, patient-reported outcomes have
11 been in trials for a long time, we have
12 instruments that are 30 years old. Why now,

13 why is there so much enthusiasm for
14 patient-reported outcomes or clinical outcomes
15 in general? Well, number one, there's recent
16 legislation that, FDA has been charged to
17 attack patient-focused drug development, to
18 take a look at patients and see what's
19 important to them throughout drug development,
20 and part of what's important to them is
21 measuring how they feel and function, so we
22 need to try to do a better job with that, and
23 that's what we've been doing.

24 I think everyone realizes we're in an
25 era of lots more options now so we can inform

49

1 our therapeutic choice better if we have more
2 data on how patients feel and function.
3 Technology is definitely improving our
4 capabilities, not only to measure PRO through
5 electronic capture that creates structured data
6 that's easier to aggregate, easier to utilize,
7 but also, again, with wearable devices and
8 biosensors creating passive data streams, and
9 this allows you to look at patients outside of
10 the clinic, and so you can identify probably a

11 real stream of real world data that we're
12 starting to see.
13 We're going to hear from Dr. Ethan
14 Basch today on his work using patient-reported
15 outcomes to monitor symptoms. It has shown
16 some benefit to patients in the clinical
17 setting. So I think clinical care is starting
18 to utilize patient-reported outcomes more for
19 communication and again, that's probably going
20 to become more common and provide a stream of
21 real world data.

22 And finally, we've talked about
23 PRO-CTCAE as a library. These item libraries
24 are very interesting, they're allowing us to be
25 more flexible with our trial design, so we can

50

1 select only those symptoms that are likely to
2 occur, and not be asking patients about
3 symptoms that are not going to occur only
4 because they're on the legacy instrument that
5 was developed in the era of cytotoxic
6 chemotherapy, for instance.

7 So we talked a little bit about
8 clinical outcome assessments. I'd like to talk

9 a little bit about core concepts and what we've
10 been working on to try to organize a standard
11 approach to our work. So, many things can be
12 measured with PRO, many many things. You can
13 look at symptoms, you can look at side effects,
14 you can look at symptoms of disease, work life,
15 sexual function and overall health quality of
16 life. You can ask many things, distress.

17 What should be the core concepts that
18 we focus on for our work in evaluating a
19 therapy? Because the heterogeneity in PRO
20 concepts that are being measured right now and
21 the heterogeneity in the tools that are being
22 used to measure them, and the heterogeneity in
23 the assessment frequencies has been very
24 problematic for the FDA and I think for the
25 scientific community in general, so I think a

51

1 concise set of PRO concepts can create
2 consistency, and the focus for our work in
3 evaluating a therapy should be on isolating the
4 therapeutic effect. We want to know what the
5 drug is doing to the patient and their disease
6 rather than other nondrug influences.

7 So to illustrate this issue of
8 isolating the therapeutic effect, we can use
9 this figure which is a conceptual framework of
10 health-related quality of life or quality of
11 life more broadly, adapted from Wilson and
12 colleagues in the '90s. You can see the
13 investigational therapy that's going to be
14 given, it's the blue circle, and it's going to
15 affect biologic and physiologic variables.
16 It's going to have on target and off target
17 effects. It's going to create symptomatic side
18 effects and it's going to hopefully alleviate
19 the symptoms of disease, and that net benefit
20 or drawback is going to be associated with
21 functional changes, which then feeds into
22 general health perceptions, which then feeds
23 into quality of life.
24 And as you can see, as you walk down
25 from the proximal symptoms to function to

52

1 health to quality of life, there's a lot of
2 inputs that begin to come into play that are
3 nondrug influences, things like motivation,
4 psychologic factors, support value preferences,

5 spirituality, et cetera, and so we have at the
6 FDA focused on proximal symptom and functional
7 measures because we would like to isolate the
8 effect of the investigational therapy to the
9 extent possible. It's never going to be
10 perfect.

11 And so in 2016 when we were asked to
12 review the patient-reported outcome landscape,
13 we proposed to focus our current work on
14 looking at symptoms of the disease, disease
15 symptoms, symptomatic adverse events and side
16 effect impact, and physical function, the
17 ability of patients to work and perform their
18 activities. These are all key components of
19 health-related quality of life, but not all
20 there is to health-related quality of life.
21 Importantly we know, as well as other
22 stakeholders, would like to know about
23 health-related quality of life as well as some
24 of these other distal domains, and we know from
25 international payers, et cetera, that they need

53

1 this. So anything that we do, we want to make
2 sure that it's going to get the data for

3 everyone that needs it, and so it's going to
4 require a different way of thinking about PRO
5 measurements.

6 So what about the measurement tools,
7 what can we do to measure these concepts? So,
8 a fit for purpose patient-reported outcome
9 instrument for FDA purposes is appropriate for
10 its intended use. That means that the study
11 design, the patient population and the therapy
12 under study need to be appropriate. What's the
13 baseline function of the patients, what kind of
14 side effect profiles are we going to be seeing,
15 is this a TKI versus immunotherapy, is this a
16 cytotoxic versus a TKI? You know that there's
17 going to be very different toxicities for each.
18 The instrument has to validly and reliably
19 measure the concept, and it needs to be both
20 clinically relevant and important to patients,
21 and both of them need to occur for them to be a
22 good measure in a clinical trial, and they
23 don't always align.

24 So, an example is sexual function in
25 prostate cancer. If you have a local therapy

1 and the patient has an intact prostate and
2 intact sexual function, it's important to
3 patients, and it's going to change potentially
4 with the therapy, which is prostatectomy or
5 radiation. On the other hand in the metastatic
6 setting where patients have had their
7 prostatectomy, are on lifelong androgen
8 deprivation therapy and they are very unlikely
9 to regain their sexual function, while it's
10 important to patients, it's a terrible trial
11 measure. I could have a wildly effective drug
12 in that setting that showed no benefit in
13 sexual function, and you may undervalue in that
14 setting the effect of the drug.

15 Finally, and I think importantly, we
16 have to be able to communicate this data on the
17 FDA label in a way that is non-misleading and
18 accurate, and so it has to be well defined what
19 you're measuring, and I want to really get into
20 well defined a little bit because I think
21 that's important for instrument selection for
22 FDA purposes. Well defined means that the
23 questions within the PRO scale ask about the
24 concept that they're measuring. For instance,
25 the concept of physical function can be defined

1 as a patient's assessment of their ability to
2 carry out activities that require physical
3 effort, and here I give two examples of
4 well-defined physical function domains.

5 So for PROMIS, in a PRO measurements
6 information system by the NIH, there's four
7 questions, all asking about varying levels of
8 activity, and that will be rounded up into a
9 score or a scale and the physical function rate
10 will be better or worse and we can label that,
11 knowing that all those questions were related
12 to physical function.

13 Similarly with the EORTC, their
14 physical function domain within the QLQC30 has
15 five questions ranging from lowest to highest
16 function and they're all asking about physical
17 function. So when we label physical function
18 as improved, it's not misleading, this is what
19 was being asked.

20 Another example of a well-defined
21 scale could be the concept of disease symptoms.
22 You may have several symptoms that you wrap up
23 into a single score, and that symptom scale or
24 score should be well defined, these should all
25 be symptoms of the disease that you're

1 studying. This is the myelofibrosis symptom
2 score that has six cardinal symptoms of
3 myelofibrosis, and this was labeled in the FDA.
4 So I showed you what is well defined.
5 What's not well defined? In this example I'll
6 give a fictitious example of a fatigue score,
7 and if you take a look at these six questions
8 which are intended to measure the concept of
9 fatigue, is this a well-defined scale? Now
10 I've made this up so this is not a real scale.
11 So in the first three questions, these are
12 asking about symptoms directly related to
13 fatigue, how tired are you, how much weakness
14 do you have, and what's your energy level, but
15 the last three questions are problematic from
16 an FDA standpoint. So what level of pain do
17 you have and how much numbness and tingling do
18 you have may contribute to your fatigue, and
19 what is your health-related quality of life may
20 be the impact of fatigue, obviously high
21 fatigue will cause low quality of life, but
22 it's not fatigue itself. So now to wrap that
23 up in a fatigue score, if you say that fatigue

24 was improved, it's kind of a little bit
25 misleading, because what if it's all driven by

57

1 quality of life, or all driven by neuropathy?
2 So then we have to break it down and try to
3 look at the item level, and that becomes
4 problematic for FDA.

5 So as I mentioned before, we're only
6 one of like many stakeholders, and so whatever
7 the strategy is for core concepts that have
8 well-defined physical function and disease
9 symptoms, treatment side effects, we need to be
10 able to create a strategy where everyone gets
11 the data that they need, so we need a
12 thoughtful combination of existing static tools
13 like Short Form well-designed physical
14 function, for instance, coupled with item
15 libraries so you can select the right symptoms
16 for your context, and it's going to require a
17 modular approach, and what do I mean by that?

18 Conceptually if you look at a modular
19 approach, it would mean that all these
20 functional domains in health-related quality of
21 life can be measured separately, scored

22 separately, and are well defined and you could
23 actually create an endpoint out of any one of
24 these boxes, cognitive function, physical
25 function, health-related quality of life, and

58

1 within that you can have a little more fidelity
2 and flexibility on these core proposed FDA
3 concepts that I mentioned before using item
4 libraries, or standalone disease symptom scores
5 like myelofibrosis, or now there's
6 non-small-cell lung cancer SAQ that was just
7 qualified.

8 Examples of modular PRO instruments I
9 wanted to give you, the EORTC QLQC30 is one
10 example of a modular design. Now it is a
11 30-question health-related quality of life
12 form, but it's not all added up and provided
13 one score and that's it. You can see this
14 taken directly from the scoring manual, that
15 each of the functional scales are, they are
16 scored on their own, they have symptom scales
17 and items that are also scored on their own,
18 and a two-question global health-related
19 quality of life score or health score that's

20 also scored on its own. So it's very modular,
21 where we wanted to look at physical function
22 specifically and if the company wanted to add
23 that as an endpoint, a specific endpoint, we
24 could do so and it would be considered well
25 defined.

59

1 Another example of a modular system
2 that was mentioned earlier is the PROMIS system
3 that was designed specifically to be modular,
4 to be able for you to choose specific scales
5 and domains, and add them to your trial as you
6 see fit.

7 And then the third example of a tool
8 that you could apply to a modular type of
9 framework would be an item library where you
10 can select symptoms in a flexible way to
11 specifically address trial questions, and this
12 is the PROCTCAE, which is an item library we'll
13 probably hear more about that's specifically
14 for symptomatic side effects, so it really
15 looks at safety and tolerability as a clinical
16 trial objective.

17 So, I would like to end by looking at

18 the big picture. We can select core concepts,
19 we can agree on well-defined instruments, but
20 how are you going to define your question and
21 what is the endpoint going to be that's going
22 to answer that question? Again as I mentioned,
23 the definition of an endpoint ends in, to
24 address a particular research question. We
25 have to come up with some standard questions

60

1 that we feel PRO can help us answer.
2 So what are some common research
3 objectives? Well, I mean for my purposes, we
4 really look at safety and efficacy, so are you
5 looking to explore safety and tolerability?
6 You can certainly complement safety by looking
7 at longitudinal symptomatic adverse events.
8 You can also look at overall side effect
9 impact, which in reviewing some slides, I think
10 we may hear about a little later.

11 And then with efficacy as I described,
12 you can get through symptom scores, improvement
13 in symptoms, you could do improvement in
14 physical function. If you had an esophageal
15 trial, esophageal cancer, you may want to do

16 swallowing as a function of living, but you
17 have to know what your research question is.
18 So I proposed, you know, a background
19 of what different kinds of outcomes are. I
20 just wanted to show you what I think the
21 important data elements could be in future
22 cancer trials. Currently this is really what
23 we focus on and it's served us very well, as
24 has been described by the CAR T results. We
25 look at overall survival, we look at

61

1 progression-free survival, tumor measures,
2 overall response rate, serum biomarkers, and
3 clinician-reported outcomes, like CTCAE safety
4 data and dose modifications, and that gives us
5 a really good sense of the drug's safety and
6 efficacy.

7 But we're in the era of
8 patient-focused drug development, we can do
9 better. Some of the things we're looking at
10 include other clinical events that are
11 occurring that are going to be important to
12 patients, hospitalizations, ED visits, morbid
13 procedures, supportive care use. We have some

14 of this in our trials base and we've begun
15 asking companies to provide us with some of
16 this data for us to further explore some of
17 these concepts.

18 Today, germane to this advisory
19 committee, I also think that patient-reported
20 outcome and other clinical outcome data, and
21 potentially biomarkers and sensors, can provide
22 this patient-centered data. In my mind, if we
23 could just focus on, start with these core
24 concepts as the basis and make that consistent
25 across trials, we could add things on top of

62

1 that as well, but look at disease symptoms,
2 symptomatic adverse events, overall side effect
3 impact, and physical function and ability to
4 perform your activities.

5 By being clear on these core PRO
6 concepts or clinical concepts that we can
7 measure, we can begin to do what we've done
8 with our other trial measures. Let's take a
9 look at the standardization based on certain
10 data elements, survival, highly standardized in
11 how we assess, analyze and present these

12 endpoints. Tumor progression, very highly
13 standardized, as well as tumor shrinkage, using
14 RECIST criteria. Adverse event reporting,
15 highly standardized using the CTCAE lexicon.
16 Now what we need to do better at is to
17 take a look at these core PRO or clinical
18 outcome assessments and begin to standardize
19 those. What are the RECIST criteria going to
20 be for patient-reported outcome core concepts,
21 and that's really where we're spending a lot of
22 energy right now. So again, at FDA oncology,
23 we've talked about the concepts, we've talked
24 about the instruments, and I think we have a
25 relatively reasonable plan and path forward.

63

1 We're moving now onto standard objectives,
2 endpoints, analytics, so that we can get data
3 standards, start to create some SAS codes to
4 really be able to really begin to scale this,
5 because I don't think I can overestimate the
6 amount of resources it takes to get through
7 some patient-reported outcome data sets that
8 may not be super standardized, and there's no
9 clear specific endpoint in mind, they can be

10 very challenging. So if we start with standard
11 objectives and concepts and create endpoints
12 that we can all agree upon, and a couple of
13 standard ones, I think we will go a long way.
14 So, a couple of examples to end, from
15 going from concept to instrument to endpoint,
16 if you're looking at disease symptoms as one of
17 your core concept measures, you could use the
18 myelofibrosis symptom assessment form as a
19 well-defined instrument to assess those
20 symptoms, and the endpoint could be 50 percent
21 or greater reduction in symptom score by week
22 24, and guess what? That was exactly what was
23 used as a key secondary endpoint, statistically
24 tested, supporting the regular approval of
25 Ruxolitinib in the treatment of myelofibrosis.

64

1 It can be done.
2 From concept to instrument to endpoint
3 using physical function, we haven't had many
4 physical function applications where they used
5 it as a key secondary endpoint, none actually
6 as a key secondary endpoint, but if the concept
7 of interest is physical function, the

8 instrument could be PROMIS, could be EORTC,
9 could be any well-defined functional domain,
10 and one possible endpoint, just throwing it out
11 there, could be what is the physical function
12 change from baseline at 12 months, giving you
13 enough time to experience the toxicity, to
14 understand what some relatively subacute
15 toxicity chronicity will be, and whatever
16 benefit you're getting from the drug may start
17 to appear.

18 Now this is, there's lots of problems
19 with cross-sectional analysis when you're just
20 picking one endpoint, and I want to illustrate
21 that quickly because I think it's relevant for
22 today. Let's take a look at a fictitious
23 physical function over time diagram. On the Y
24 axis you have the functional, the physical
25 function outcome result, you have

65

1 administration of the drug at time zero, 30, 60
2 and 90 days, and this might be what a patient's
3 physical function does over the time. The
4 context here, which may sound familiar, is a
5 therapy that's given once, not chronically,

6 that has significant toxicity early, that then
7 begins to recover, and if the patients have
8 significant functional decline at baseline, the
9 significance of your disease may actually
10 improve function later on as patients recover
11 completely.

12 Now if you choose your cross-sectional
13 endpoint at 30 days, you're going to see a
14 decrease in physical function in this example.
15 If you choose your physical function at 90
16 days, you're going to see an improvement of
17 physical function from baseline, so you could
18 have completely different results if you select
19 an endpoint. So in endpoint A we're really
20 looking at tolerability, and in endpoint B
21 we're really actually looking at efficacy, and
22 that's why you need to understand your disease,
23 your context, your endpoint definition, and
24 really be thoughtful about your strategy.

25 I want to also make one note, that

66

1 when we look at patient-reported outcomes data,
2 it's within the context of everything about the
3 drug, the patient, the disease, the tumor

4 measures, the survival, everything, and it's
5 very different contexts in certain scenarios.
6 So an adjuvant curative therapy that's given
7 for a specific period of time, it's going to
8 have significant toxicity, but it's a defined
9 treatment duration and there's a potential for
10 cure. That's one type of context to put your
11 risk-benefit decision into.

12 And then if you have a palliative
13 noncurative therapy, which is the vast number
14 of therapies actually in solid tumor oncology,
15 you treat them to progression, you have
16 cumulative toxicity as you go on with
17 treatment, and the benefit is typically limited
18 to the time on therapy; when you progress, the
19 therapy is no longer effective, and that's a
20 different context to think about what your
21 results show.

22 So in conclusion, clinical outcomes in
23 my mind can complement and not replace
24 survival, tumor and standard safety measures,
25 and patient-reported outcomes are one type of

1 clinical outcome, there are others.

2 Patient-reported outcomes functional scales and
3 symptom scales that create a score out of a
4 number of questions should be well defined so
5 that we can allow for clear communication in
6 FDA labeling. Several patient-reported outcome
7 measurement systems exist, and we don't need to
8 reinvent the wheel. Some concepts are
9 relatively agnostic to the scenario; that's why
10 I think physical function is a nice concept to
11 measure, it's important in CHF, it's important
12 in COPD, it's important in metastatic cancer,
13 it's important across a lot of different
14 diseases. In fact, that's sort of what the
15 PROMIS idea was mentioning.

16 I think finally, it's really critical
17 that you come to the FDA with your specific
18 trial. I think we can help, and we would like
19 to start providing written guidance to try to
20 standardize some of these things, which I think
21 will help the community a little bit in a
22 sense. And I think it's also critical, as I
23 mentioned, to understand the treatment context
24 and carefully consider taking a research
25 objective and creating an endpoint that

1 supports that, so thank you for your time.

2 DR. ROSS: Thank you, Dr. Kluetz. You
3 should know that I very nearly interrupted you
4 halfway through before I was reminded that you
5 were given 30 minutes to speak, not 15. So I
6 will note now that coming to the panel, Dr.
7 Claire Snyder, professor of medicine, oncology
8 and health policy and management at Johns
9 Hopkins School of Medicine and Public Health,
10 along with Ms. Elissa Bantug, the manager of
11 communications, education and survivorship at
12 the Johns Hopkins Breast Cancer Program, both
13 at Johns Hopkins, and they are given 40 minutes
14 to speak.

15 DR. SNYDER: Good morning. It's my
16 pleasure to be here this morning. I'm Claire
17 Snyder, and I'm pleased to be here with our
18 patient advocate partner Elissa Bantug to talk
19 about some research we've done with funding
20 from the Patient-Centered Outcomes Research
21 Institute, looking at how to display
22 patient-reported outcomes data so that patients
23 and clinicians can understand what the scores
24 mean and use them in practice.

25 I currently and have previously

1 received research funding from Genentech, and I
2 receive royalties on a section that I write in
3 UptoDate on cancer survivorship. Ms. Bantug
4 has nothing to disclose.

5 To be clear about the purpose of our
6 presentation today, CMS asked us to talk about
7 our project in terms of how it involved
8 engaging with patients and other stakeholders
9 to develop improved presentation strategies for
10 patient-reported outcomes, so our emphasis is
11 on the stakeholder engagement methods that we
12 used, as well as providing you some insights on
13 our study results. I want to emphasize that
14 when we looked at the different data display
15 formats, it was agnostic to PRO questionnaires,
16 so there's nothing that I'm going to present
17 today that would impact one questionnaire
18 versus another.

19 There are three different contexts or
20 applications of PRO data that our study
21 addressed. The first is individual patient
22 monitoring. This is when a patient completes a
23 PRO questionnaire about how he or she is doing,
24 the data is fed to the clinical team, and is

25 used to inform his or her personal care. This

70

1 has been shown to improve communication, help
2 monitor progress and inform management. This
3 is an example data display format for an
4 individual patient. It shows the current score
5 and previous scores with possibly concerning
6 scores highlighted in yellow. Because this
7 application is less relevant to the discussions
8 today, I just wanted to introduce it, but we
9 will not be focusing on it.

10 More relevant to this group is how you
11 display research study results, and this is
12 just an example study from the New England
13 Journal of Medicine looking at quality of life
14 among prostate cancer survivors, and the
15 results of this were translated into data
16 appropriate for display to patients so that
17 they can see that either external beam
18 radiation or brachytherapy are less toxic to
19 bladder control compared to surgery. Those
20 same data were displayed in the actual journal
21 publication in a much different way.

22 The problem with all these data

23 displays is that it can be very challenging to
24 interpret because of the variations in PRO
25 instruments, so I think we're all familiar with

71

1 the multitude of PRO instruments out there.
2 The last time I checked, there were over 800
3 listed in the PROQOLID database, and across
4 these instruments there's no standardization in
5 how they are scored, scaled, or in how the data
6 are presented. So in some PRO questionnaires,
7 such as the SF-36 which we've heard about,
8 higher scores are always better. On other PRO
9 questionnaires, higher scores represent more of
10 what is being measured. An example of this is
11 the QLQ-C30, which we've also heard about.
12 That means higher scores are better for
13 function domains but worse for symptoms. And
14 then on some questionnaires, lower scores are
15 always better, this is frequently the case with
16 symptom questionnaires or something like the
17 sickness impact profile.

18 There's also variation in how PRO
19 instruments are scaled, some are linearly
20 transformed, zero to 100, with the best and

21 worst at the extremes, whereas others such as
22 PROMIS are normed to a general population
23 average of 50. Because of this, a score of 50
24 can mean entirely different things and it can
25 be difficult for patients and clinicians to

72

1 keep track of that. And finally, there's
2 variation in how the data are presented. We've
3 seen examples of that this morning in terms of
4 whether you show average scores over time or
5 the proportion meeting a responder definition.
6 Based on all of this variation,
7 clinicians have told us that they value PRO
8 data, they want to use it in practice, but they
9 have difficulty understanding it. So we
10 undertook a three-part mixed method study,
11 again with funding from PCORI, and in the first
12 part of this study we looked at current
13 approaches for displaying PRO data to find out
14 what patients and clinicians found helpful and
15 what they found confusing. In the second part
16 of this study we took the results from part one
17 and partnered with patient and clinician
18 stakeholders to develop improved presentation

19 approaches. And in part three, we tested those
20 approaches.

21 As I mentioned, we were brought here
22 today primarily to talk about how patients and
23 other stakeholders can be engaged in research,
24 so it's now my pleasure to introduce
25 Ms. Bantug, who will talk about our stakeholder

73

1 engagement approaches and her role as our
2 patient partner.

3 MS. BANTUG: Thank you, Claire, it's a
4 pleasure to be here today. I'm going to talk a
5 little bit, as Claire mentioned, about our
6 stakeholder engagement. So in a research study
7 with 30 oncologists, almost all felt that PRO
8 data had value. However, less than half felt
9 comfortable interpreting these results. And as
10 Claire mentioned, there was a lack of
11 standardization when it comes to how these
12 measures are scored, scaled and displayed.
13 Research also indicates that some methods used
14 to display this data are more easily understood
15 than others.

16 When we designed this study, we wanted

17 to come up with a way to better display PRO
18 data that impacts clinical practice. Our
19 stakeholders were around and along throughout
20 the continuum of care. We wanted to make sure
21 that they were available to aid in better
22 understanding and use. The stakeholders were
23 part of our investigative team, as well as an
24 advisory board that we put together. In
25 addition, we wanted to make sure that they were

74

1 involved in study conduct. There was broad
2 inclusion with stakeholders, as I mentioned, on
3 the investigative team as well as the advisory
4 board. This included doctors, nurses,
5 physicians, caregivers, as well as being part
6 of study subjects; this helped with the
7 intervention and development.

8 And finally with how the study was
9 implemented and disseminated, advocates were
10 really helpful in study implementation and they
11 were critical in the dissemination process. So
12 looking a little bit at how we put together our
13 core team, this investigative team, this
14 included patients and caregivers, PRO

15 researchers, as well as clinicians. And as you
16 can see here on your screen, there's a picture
17 of me in the top corner representing a patient
18 as well as a caregiver, cancer patient and
19 taking care of my mom who's a cancer patient.
20 On the bottom of your screen you can see a
21 great picture of Claire here as a PRO
22 researcher, as well as Michael Brundage, who's
23 a radiation oncologist in Canada and a PRO
24 researcher who's very well versed in a lot of
25 these PRO metrics.

75

1 So we had this core team, we met
2 together weekly, the Hopkins team. We also had
3 a qualitative researcher who was part of the
4 team, we met weekly to get together with
5 Michael on the phone, and then we worked with
6 the advisory board that we put together to
7 really make sure we had broad representation.
8 We felt like the stakeholder advisory board
9 definitely improved generalizability, it gave
10 us direction and guided our research project,
11 it helped with connection using various groups
12 including advocacy groups, journal editors,

13 professional groups, et cetera. Again, this
14 helped us with implementation and
15 dissemination.

16 And here you can see a list of our
17 stakeholders. Again, we had patient advocates,
18 we had someone representing nursing
19 perspectives, caregivers' perspectives,
20 clinical perspectives with nurses and
21 researchers, journal editors, as well as PRO
22 researchers here.

23 So looking beyond what we did with our
24 stakeholder advisory board and our
25 investigative team, we wanted to go broader.

76

1 We looked at the Johns Hopkins Cancer Center
2 which is where we started our recruitment
3 efforts, but then wanted to go beyond that to
4 the Johns Hopkins Clinical Research Network,
5 and this is where we focused our part one and
6 part two of our study looking at how we
7 recruited patients and clinicians.

8 Here's a map that you can see of our
9 Johns Hopkins Clinical Research Network. You
10 can see Baltimore is towards the top. Just

11 north of Baltimore is the Greater Baltimore
12 Medical Center, GBMC, which is just north of
13 Baltimore in Towson. As you move a little bit
14 further you have Anne Arundel Medical Center in
15 Annapolis, and then as you go down the
16 mid-Atlantic seaboard and the 95 corridor, we
17 have hospital representation both in Montgomery
18 County, Maryland, D.C., and into Virginia. The
19 idea was to incorporate a big academic medical
20 center at Johns Hopkins, in addition to
21 community-based hospitals. This is where we
22 recruited part one and part two of our study.

23 And going beyond that, we wanted to go
24 a little bit broader, and so we knew we had a
25 really unique perspective looking at medical

77

1 centers both in an academic setting as well as
2 a community setting, but wanted to sort of take
3 it beyond the Baltimore regional area. So then
4 part three of our study looked at, tried to
5 look at a national sample, and with this we
6 used an Internet-based survey approach, and
7 this again is where our stakeholders were
8 really instrumental in making sure we got

9 information out to patient groups, professional
10 groups, using social media networks, et cetera,
11 to really get this Internet survey out, to cast
12 a wide net to get a lot of respondents. So as
13 you can see, on the bottom is where we did our
14 part one and part two, and as we expanded to
15 part three in our Internet survey.

16 Some of the key things that we took
17 away from this was, we felt like it was
18 critical to employ stakeholders from the very
19 beginning of the process from study design all
20 the way through implementation and
21 dissemination, they were involved in every part
22 of the way. We really felt like we didn't just
23 want these stakeholders listed on an
24 application, but we had them together, we
25 brought them together periodically in person as

78

1 well as on the phone. They helped us triage
2 problems, helped with study design, as well as
3 moving forward. We cast a wide net so we had a
4 very broad group of stakeholders that were
5 diverse in education, gender, ethnicity,
6 educational background as well as experience,

7 to make sure we had a wide representation. And
8 we, as I mentioned, it helped us with study
9 conduct and effective dissemination.

10 And finally, if you want to hear more,
11 read more about how we did this, we did publish
12 these findings in the Journal of Community and
13 Supportive Oncology about how we implemented
14 our stakeholders along the process of this
15 program. And with that, I will turn it back
16 over to Claire to talk a little more in detail
17 about our brief part mixed method study.

18 DR. SNYDER: There was a pointer up
19 here but I lost it, but I want to thank Elissa
20 for her cooperation and collaboration
21 throughout this project, and now I wanted to
22 share a bit about our methods and results.

23 So as I mentioned, the first part of
24 the study looked at current approaches for
25 displaying data to find out what patients and

79

1 clinicians found helpful and what they found
2 confusing, and so we showed different examples
3 of data display formats, some of which we've
4 seen this morning, so this is bar charts of

5 average changes at nine months, these are bar
6 charts of the proportion meeting a responder
7 definition, these are cumulative distribution
8 functions that the FDA has suggested, and these
9 are line graphs of scores over time. This
10 particular version includes confidence limits.
11 We also showed a version without the confidence
12 limits, as well as a version normed to a
13 general population average.

14 And I'm not going to go into details
15 on part one or two but just give you a taste,
16 and what we found was very wide variation in
17 the accuracy of interpretation not just among
18 patients but also among clinicians. And for
19 questionnaires where higher scores were better
20 for function and worse for symptoms, people got
21 confused. They did have suggestions for us
22 which we tried to follow, but at the end of the
23 day, both patients and clinicians preferred the
24 line graphs of scores over time, with the
25 clinicians valuing additional statistical

80

1 details such as P values and confidence limits,
2 whereas patients not only did not want that

3 information, but found it detrimental to their
4 understanding.

5 So because of this, we actually broke
6 out how we looked at the presentation of
7 research study findings so that we had one
8 stream of research focused on how do you
9 present this information to patients in like
10 educational materials or decision aids, and a
11 subsequent stream then focused on how you
12 present this data to clinicians such as in
13 journal publications. And again, we also
14 focused on individual level data, but that is
15 not the focus here. If you want to find out
16 more about part one, we summarized our results
17 in this publication.

18 In part two, we used what we thought
19 was a very innovative approach of partnering
20 with clinicians and patient stakeholders to
21 develop the improved presentation formats, and
22 so we used an iterative approach that I wanted
23 to walk through with you. So what we did is we
24 took the results from part one and our research
25 team met and said okay, these are the things

1 that emerge from the qualitative interviews
2 that we need to try and improve on as we refine
3 these data display formats. And then we had
4 formed work groups based on volunteers from our
5 part one interview participants who said yes,
6 I'm willing to work with the research team on
7 their project, and so we met with this patient
8 and clinician stakeholder group and said these
9 are the issues that emerged from part one,
10 these are the things we're considering doing
11 about it, what would you recommend to us?

12 Based on the feedback from the work
13 group, we narrowed down some data display
14 formats and we tested those in additional
15 individual interviews, and then we took the
16 results from those individual interviews to our
17 stakeholder advisory board, and we went through
18 this process separately for each of our three
19 applications for our individual patient data,
20 for presenting research results to patients,
21 and for presenting research results to
22 clinicians.

23 So based on the findings from part
24 one, we had several key questions that we
25 needed to address. Both patients and

1 clinicians endorsed line graphs for displaying
2 the data, but we decided that the data display
3 format does not drive the analytic strategy,
4 the analytic strategy drives the data display
5 format, so we wanted to address both how to
6 show average scores over time as well as the
7 proportion meeting a responder definition, and
8 then we wanted to figure out how we could deal
9 with the issue of directionality to make it
10 clear whether higher scores were better or
11 worse, how to deal with scaling norms versus
12 non-norms, and for clinicians, how to identify
13 statistical significance and clinical
14 importance.

15 So the results of part two were the
16 formats that were tested in part three, and for
17 patients, we tested three different proportion
18 formats, pie charts, bar charts and icon
19 arrays. Now it's notable that we tested pie
20 charts. They were not included in part one but
21 they were recommended to us. Previous data in
22 other contexts do not support using pie charts
23 but we said we should go ahead and test it, and
24 we did, so I'll share those results with you in
25 a bit.

1 We also looked at three different
2 approaches for showing line graphs of mean
3 scores over time. This is an example of the
4 more line graphs, this is where higher scores
5 represent more of what's being measured -- I
6 found the pointer. So here higher scores are
7 better for physical and emotional functioning,
8 but lower scores are better for fatigue and
9 pain.

10 This is an example of the better line
11 graphs. We did not change the scoring of the
12 instrument but we flipped the axis so that zero
13 was on top, and this way lines trending up were
14 always better.

15 And then this is an example of normed
16 line graphs where everything was centered on
17 the average for U.S. adults.

18 To highlight some of the features that
19 are consistent across all of these displays, we
20 provided as clear separation as we could
21 between the function domains and the symptom
22 domains, so if they were scored in different
23 directions that would help people interpret

24 the data. We colored the lines and the labels.

25 We used clear wording on the X axis, patients

84

1 did not understand what randomized meant. We

2 provided headers that specified how to

3 interpret what a line going up means. And we

4 included descriptive labels on the Y axis, both

5 to help understand what the score means, but

6 also implicitly tells you about directionality.

7 For clinicians, we tested various very

8 similar formats except for, we did not do pie

9 charts because no one could imagine seeing a

10 lot of pie charts in journals. Everything was

11 done in black and white, because still, a lot

12 of things are printed out in black and white,

13 so these are the exact same pie charts.

14 But the other thing we did for

15 clinicians is add in P values. I would note

16 that all the data that is on these figures that

17 I'm showing, the data is the same, only the

18 formats are changing. So these are our bar

19 charts of the proportion meeting a responder

20 definition, and then for line graphs we also

21 did the more format, the better format and the

22 normed format, these are exactly the same, just
23 in black and white, but we added three
24 additional variations on the lines. Some
25 variations just showed completely plain lines,

85

1 others added an asterisk for clinical
2 importance with a legend that explained what
3 that meant, and then others added confidence
4 limits on top of that.

5 So if you want to learn more about how
6 we worked with stakeholders to develop these
7 presentation formats, those results were
8 published in Supportive Care in Cancer.

9 So finally, I'm going to talk about
10 the results from our part three study. As
11 Elissa mentioned, we did both an Internet
12 survey and supplemented that with one-on-one
13 interviews with patients and clinicians from
14 the Johns Hopkins Clinical Research Network.
15 For the Internet survey it was self-reported
16 cancer patients or survivors, self-reported
17 cancer clinicians, or self-reported PRO
18 researchers who did not have to focus on
19 cancer, but our one-on-one interviews purposely

20 sampled based on education, cancer type,
21 clinical site and clinician specialty, and we
22 did that also in parts one and two.

23 So, we had about 2,200 respondents
24 overall and they were randomized to one of 30
25 versions of the Internet survey, and I'll go

86

1 over what those versions were. For the data
2 presented to patients, we collected data from
3 patients, clinician and PRO researcher
4 respondents, but for the data presentation to
5 clinicians we only randomized clinicians and
6 researchers to that stream.

7 I'm going to go over this survey
8 design in more detail, but the main point I
9 want to make is that in testing the different
10 formats we kept the accuracy questions the same
11 and the data in the formats the same, so only
12 the way the data was displayed differed. So we
13 asked questions of interpretation accuracy, we
14 asked questions of clarity to rate the clarity,
15 and we also asked them to select which format
16 they thought would be most useful. For the
17 one-on-one interviews, they basically completed

18 the Internet survey but they thought aloud as
19 they did so.
20 Our analysis was descriptive summaries
21 of the accuracy questions and clarity ratings.
22 We used a chi-square Fisher's exact testing for
23 the most useful. Format, we used multivariable
24 GEE logistic regression to further explore
25 interpretation accuracy and clarity, and we did

87

1 qualitative analysis not just for the
2 one-on-one interviews but the very extensive
3 open-ended comments we got from the online
4 survey.
5 So first I'm going to show you about
6 how to present the results to patients. Our
7 survey here included 629 cancer patients or
8 survivors, mean age 58, predominantly female
9 and white, with 23 percent who had not
10 graduated from college. We had 139 clinicians,
11 mean age 44, mean years in practice 16, and 249
12 PRO researchers, mean age 45, with 46 percent
13 with more than ten years experience. For our
14 one-on-one interviews it was just ten patients
15 and five clinicians, but it was well

16 distributed by our purpose of sampling.
17 So we had six different versions of
18 the survey to look at data display for patients
19 and the variation across the surveys was the
20 order of presentation, so pies, bars and icons
21 were each shown first, second or third, and one
22 of the surveys with line graphs either seen
23 after the proportions or before the
24 proportions, but each respondent only saw one
25 version of the line graphs, because we thought

88

1 it would totally confuse them if we started
2 mixing around the line graphs.
3 And this is just an example of the
4 kind of interpretation questions we asked,
5 things like at nine months, on which treatment
6 did more patients improve with regard to doing
7 physical activity. Again, the questions asked
8 were the same based on the order of the format
9 seen so that we could isolate any differences,
10 not to differences in data or to differences in
11 the accuracy questions, but solely on
12 differences in the way the data were displayed.
13 The answer choices were treatment X,

14 treatment Y, or treatments are about the same.
15 For line graphs we asked similar questions,
16 such as at 12 months, on which treatments are
17 patients better able to do physical activities.

18 For proportions across patients,
19 clinicians and researchers, the bar charts
20 seemed to perform less well, and in our
21 multivariable analyses this emerged more
22 clearly, so both the pies and the icons were
23 more accurately interpreted than bars.

24 For the clarity, you see a very clear
25 and consistent stepped rating with pie charts

89

1 being rated most clear, followed by bar charts
2 and icon arrays. And again, this emerges in
3 the multivariable models where pies are
4 considered preferable to icons and preferable
5 to bars.

6 In terms of selected quotes, some
7 people said, you know, pie charts are easy,
8 some people are against pie charts, and one of
9 my favorite quotes is, a pie chart is
10 appropriate at a bakers' convention only. Bar
11 charts, some people liked the side-by-side

12 comparison, but others thought it was more
13 challenging to interpret the data that way.
14 And for the icon arrays, people liked the
15 personalization of the data, but they were
16 considered to be very busy. Icon arrays are
17 generally used in the risk literature where
18 it's a very small number of colored figures.

19 And pie charts were also the winner in
20 terms of being rated as most useful, with
21 patients, clinicians and researchers all
22 putting it at the top and it was statistically
23 significant for all but the researchers.

24 In terms of line graphs, you don't see
25 a super clear rating in the descriptive

90

1 analysis but what emerges in the multivariate
2 analysis is that the line graphs were, higher
3 scores were always better, were more accurately
4 interpreted than either the normed or the more
5 versions. More performed better than normed.

6 And the clarity ratings tended to
7 favor, tended to favor better line graphs only
8 when compared to more for somewhat clearer, so
9 the clarity ratings weren't super different.

10 In terms of the selected quotes,
11 people like seeing the scores over time, but
12 they get confused with the directionality
13 changes. If you show them a more version, they
14 say sometimes lines going up is better,
15 sometimes it's worse, that's confusing. If you
16 show them a flipped axis, they say I don't
17 understand why zero is at the top of the Y
18 axis, and then for normed data, patients can
19 get confused about where these data come from.

20 So in summary, our pie charts came out
21 as most accurately interpreted, most likely to
22 be rated clear, and rated best for proportions.
23 And the line graphs with higher indicating
24 better outcomes were more accurately
25 interpreted, and more likely to be rated clear

91

1 than the more line graphs. These findings are
2 in press for the line graphs and under review
3 for the proportions.

4 Finally, I just wanted to share our
5 results related to presenting data to
6 clinicians. For this our final sample was 233
7 clinicians and 248 PRO researchers,

8 supplemented by ten one-on-one interviews with
9 clinicians. For this one we had 18 different
10 versions of the questionnaire because we had
11 the three different variations on the line
12 graphs. So everyone only saw one kind of line
13 graph, better, more or normed, but we varied
14 the order in which they saw confidence limits,
15 clinical significance and plain versions, and
16 then we also showed the pies before bars in
17 half the versions and bars before pies in the
18 other half of the versions, so that's how we
19 ended up with 18 versions.

20 So if you look at the accuracy of
21 interpretation, it looks pretty pitiful, with
22 very few clinicians and researchers getting
23 both questions correct, and I just want to
24 explain that finding. So one of the questions
25 was, which treatment did patients improve more

92

1 related to doing physical activities? So if
2 you look here, more improved on treatment Y but
3 the P value is not statistically significant,
4 so we considered the correct answer to be about
5 the same, but because many people picked

6 treatment Y, we reanalyzed the data to look at
7 what proportion got the absolutely wrong
8 answer, so they put treatment X and the answer
9 should have been treatment Y, so these results
10 should be a little more reassuring.

11 And there was actually no difference
12 between bar charts and pie charts for getting
13 the answer correct. However, pie charts were
14 less likely to be interpreted incorrectly, so
15 pie charts performed better there.

16 And then for the clarity there were
17 really no differences, and that is shown in the
18 multivariable models.

19 In terms of selected quotes, some
20 people liked pies again, but others felt
21 uncomfortable with the idea of pies and then
22 four bars. They found that bars were more
23 easier to read or that it took them longer to
24 compare. And I misspoke earlier. I said we
25 didn't use pie charts for researchers and

93

1 clinicians; I meant to say icon arrays were not
2 used for clinicians and researchers.

3 And then in terms of most useful, we

4 did not find statistically significant
5 differences, although bar charts were a trend
6 among researchers.

7 When you look at the line graphs in
8 the multivariable models and when you look at
9 the proportion of getting the answer incorrect,
10 normed versions were inferior, and those are
11 shown in the multivariable results, so norms
12 were more likely to be incorrect compared to
13 better and compared to more. The differences
14 were less distinct for getting the answer
15 correct.

16 And in terms of the clinical
17 significance, we added questions like, for
18 which domains are the average scores clinically
19 significantly between treatments at six months?
20 So the answers are pain and fatigue, and across
21 the different versions of the survey, certainly
22 pain and fatigue were answered more commonly in
23 physical than emotional, but it's not that they
24 were universally identified as being clinically
25 important, even with the asterisk.

1 And then when we showed confidence

2 limits, we asked questions like for fatigue, at
3 which time points are average scores
4 statistically significantly different between
5 treatments? And again, the highest ratings
6 were for the ones where there was clear
7 separation between the confidence limits, but
8 even when there was very clear overlap in the
9 confidence limits, some were selecting them as
10 being statistically significant. So this is
11 just a caution in terms of how reliably these
12 data are being interpreted.

13 In terms of clarity, we again find
14 that the normed are inferior with both the more
15 and better versions, rated as being more clear.
16 And then selected quotes are, the more are
17 confusing because of directionality changes,
18 but the better is confusing because if you
19 think a line going up with fatigue would be
20 more fatigue, not better fatigue, although if
21 they figured out that we had flipped the axis,
22 then they thought it was clear. For the normed
23 it was hard to see the magnitude of the
24 difference. Some clinicians and researchers
25 like the simplicity of just the asterisk for

1 clinical significance, others appreciated the
2 addition of the confidence limits. So when we
3 asked them to select their favorite line
4 format, clinicians and researchers picked some
5 version with confidence limits or clinical
6 significance.

7 So to summarize, both clinicians and
8 researchers are unlikely to pick the incorrect
9 treatment, but they were more likely to be
10 incorrect with bar charts. There were no
11 differences in clarity, and researchers tended
12 toward picking bar charts. For the line
13 graphs, the normed versions come out inferior,
14 but the inclusion of clinical importance or
15 statistical significance is appreciated, and
16 all of this is in much more detail in this
17 publication.

18 So finally, to conclude on our next
19 steps, and returning to our theme of
20 stakeholder engagement, at the end of our
21 project our stakeholder advisory board said
22 that the evidence we had generated is
23 sufficient to inform recommendations for data
24 display but did not define those
25 recommendations on their own, and so they

1 recommended that we bring together a broader
2 group of stakeholders to develop
3 stakeholder-driven evidence-based standards.
4 We were pleased to get additional funding from
5 PCORI to conduct a Delphi process, taking the
6 results from our study and from the few other
7 studies that had looked at this question, and
8 those results are currently under review.

9 So with that, I want to acknowledge
10 our team, especially our patient
11 co-investigator Elissa and our team of
12 stakeholder advisors, and Michael Brundage, who
13 was the co-principal investigator. Thank you
14 very much.

15 DR. ROSS: Great, so thank you,
16 Dr. Snyder and Ms. Bantug. So we are now, it's
17 about ten o'clock, we're about ten minutes
18 ahead of schedule, and we're up for a break?

19 MS. JENSEN: Yeah, we're up for a
20 break, but I just wanted to remind anybody who
21 wants to speak that is not already on the list
22 to sign up out back, because we'll pick up that
23 list after the break. So if you want to speak,
24 make sure that you are signed in and you sign

1 DR. ROSS: So we'll give everyone 15
2 minutes, so please be back in the room at
3 10:15.

4 (Recess from 9:58 to 10:15 a.m.)

5 MS. ELLIS: If everyone could take
6 their seats, we're about to get started.
7 Dr. Basch, if you could make your way to the
8 podium.

9 DR. ROSS: So, thank you as everyone
10 is getting back to their seats. We're about to
11 restart. Our next speaker is Ethan Basch,
12 professor of health policy and management and
13 the director of the Cancer Outcomes Research
14 Program at UNC Chapel Hill.

15 DR. BASCH: Thanks very much, nice to
16 be here, and thank you to CMS for the
17 invitation to speak to you today. I'm a
18 medical oncologist and a professor of medicine
19 at the University of North Carolina. I conduct
20 clinical trials, I see patients, and my
21 research group has done work around
22 patient-reported outcomes for many years, and

23 I'll describe some of the work by us and others
24 as pertains to the questions posed to the panel
25 today.

98

1 I'm going to try to be practical
2 towards the specific questions that the panel
3 will be addressing per my charge from CMS, so I
4 will begin with some general comments and some
5 evidence around the collection of
6 patient-reported outcomes data in oncology
7 clinical research in general, and then I'll
8 turn very specifically to the tools that CMS
9 selected for evaluation today.

10 These are my disclosures. I conduct
11 research largely funded by the Patient-Centered
12 Outcomes Research Institute and the National
13 Cancer Institute. I have received funding for
14 the development and testing of patient-reported
15 outcome tools.

16 So, I'm an oncologist, and when I sit
17 with a patient and we make a shared decision
18 about treatment, one of the very first
19 questions that they ask, in fact one of the
20 first questions that we both ask, is how will

21 the patient feel with the product, how did
22 patients like them previously deal with the
23 treatment, how did they feel with the
24 treatment? And without this information, we
25 have an impaired ability to make informed

99

1 decisions. People like to know what to expect,
2 right?

3 If somebody's going to go through a
4 medical procedure or medical treatment, it
5 would be nice to know how it would make them
6 feel and make them function, and without this
7 information I would argue that we have an
8 incomplete understanding of a product's
9 characteristics and a limited understanding of
10 the longitudinal patient experience that's
11 missing.

12 Now, you know, some have argued well,
13 we collect this kind of information in clinical
14 research, clinicians really understand their
15 patients' experience, this is often documented
16 either in symptom forms or in toxicity
17 assessment, and this graphic shows some data
18 from my group from a number of years ago where

19 we did a very simple tracking of about a
20 thousand patients enrolled in clinical trials
21 who were self-reporting their own symptoms and
22 simultaneously their clinicians were
23 systematically reporting on those patients'
24 symptoms. You can see the cumulative incidence
25 of the patient-reported symptoms in orange, the

100

1 cumulative incidence of the clinician-reported
2 symptoms in blue showing that we, I and my
3 colleagues, many of us in this room,
4 substantially underestimate the symptom burden
5 that our patients are experiencing by about
6 half.

7 Now when thinking about adverse
8 events, and Paul Kluetz from the FDA spoke
9 about one of the key domains of
10 patient-reported outcome data collection which
11 is around symptomatic adverse events, you know,
12 this information is currently collected from
13 clinicians, right? In oncology trials we
14 complete information using the CTCAE, the
15 Common Terminology Criteria for Adverse Events.
16 This information is not typically collected

17 from patients and you know, unfortunately, when
18 it comes to symptomatic adverse events, this
19 information it turns out is not reliable. As
20 shown in this study that was led by Thomas
21 Atkinson at Memorial Sloan Kettering Cancer
22 Center, in which a really simple experiment was
23 done, patients who were receiving treatment on
24 trials were coming in to receive their therapy,
25 and they were seen independently by two

101

1 different clinicians, one generally in the
2 office for toxicity check and one in the
3 infusion suite. This was generally within
4 about ten minutes of each other, there were no
5 treatments in between. Both were blinded to
6 each other and completed CTCAE symptoms, and
7 you can see down the middle of this graphic for
8 a number of very common symptomatic adverse
9 events reported with the CTCAE with the
10 interclass correlation coefficients.

11 For clinical trial grade data, these
12 numbers should be in the .8, .9, somewhere in
13 that range, and you can see that these numbers
14 are substantially lower, showing that if I and

15 my colleagues see the same patient on a
16 clinical trial on the same day at the same
17 time, that we often disagree with each other.
18 This really raises questions about the
19 reliability of clinician-reported symptomatic
20 adverse event information. We as clinicians
21 are creating a lot of noise, and this is
22 because it is extremely difficult for one
23 person to understand another person's
24 experience with symptoms. And I would say that
25 I myself as an oncologist have, I and my

102

1 patients have been a part of many of these
2 studies and I really do know better than any of
3 my colleagues, this is probably just dependent
4 on the psychodynamics of interactions and the
5 logistics of clinic visits.

6 Now, why is this important? Well, you
7 know, here I show not a CAR T therapy but this
8 is a table from Taxotere, a drug that I
9 commonly use in my practice, and this is taken
10 directly from the FDA label, this is the
11 adverse reaction table from, that was the
12 TAX-327 trial, one of the registration trials

13 for Taxotere, and we see that more than half of
14 the adverse reactions are symptoms, right,
15 highly subjective phenomena like nausea and
16 taste disturbance and dyspnea, fatigue and
17 myalgia. But you know, currently all this
18 information is reported by clinicians and
19 unfortunately, we have to really question the
20 precision and reliability of this information.
21 We can do better, as has been pointed out in a
22 couple of the prior presentations.

23 So, what about the applicability of
24 patient-reported outcomes to CAR T therapies?
25 There are several domains of potential interest

103

1 that align exactly with those domains that the
2 FDA previously presented to you as being of
3 high interest to them in the evaluation of
4 oncology drug products.

5 Number one, symptomatic adverse
6 events. We can understand the short-term
7 toxicity profile of these products. We can
8 look at the longer term, right, the late effect
9 of these therapies. Yes, it's wonderful that
10 people are being cured who did not have such a

11 hope previously, but how do they feel, how are
12 they doing, what can they expect? And in order
13 to manage people's late toxicities we need to
14 know what the late toxicities are and if we
15 don't collect that information, we won't know.

16 What about earlier changing symptoms
17 that might flag an impending morbid event,
18 right, like CRS? There might be warning signs
19 that come best from the patients if we're
20 monitoring them.

21 Second physical functioning, if my
22 patient is in bed all day, I'd like to know
23 about that. And I think that future patients
24 would like to know if prior patients had that
25 experience.

104

1 And finally, change in disease-related
2 symptoms, which Dr. Kluetz already discussed in
3 detail.

4 Now, CMS identified a host of tools
5 that they have asked the panel to score in
6 various domains today. I won't comment on the
7 quality of your grading questionnaire today,
8 maybe you can extract from the presentations

9 about the psychometric properties of your own
10 tool that you'll be using. I'm going to
11 describe each of these tools briefly, Katherine
12 already did this nicely, I'm going to expand on
13 that a little bit, and I want to note that the
14 information I will be providing was extracted
15 through a structured review of the scientific
16 literature and of clinicaltrials.gov data by
17 Dr. Thomas Atkinson, who is here today. He has
18 more hair than in the picture here, but he is
19 more qualified than I am, I would say he is a
20 psychometrician, he has been involved in the
21 development and evaluation of patient-reported
22 outcomes tools, I am but a humble oncologist
23 conveying the message today.

24 So these are the tools, Katherine
25 already went over these so I won't belabor

105

1 them, but I'm going to go through each of them
2 in a little bit of detail now, and I would note
3 that according to clinicaltrials.gov, there are
4 11 trials that have included patient-reported
5 outcomes for CAR T. This does not necessarily
6 include registries or postmarketing evaluations

7 that might not necessarily report to
8 clinicaltrials.gov, but of the 11, two used the
9 PRO-CTCAE, nine used the EORTC-QLQ-C30, and two
10 used PROMIS. Again, this is from
11 clinicaltrials.gov and that's the limitation of
12 our evaluation, if somebody didn't post their
13 trial, we wouldn't have seen it; hopefully
14 everybody did.

15 So in addressing question number one,
16 which just remind everybody here, question one
17 posed to the panel, how confident are you that
18 each of the following PRO assessments are valid
19 and generalizable to the Medicare population,
20 and panelists are asked to assign a score of
21 one to five for their level of confidence.

22 We looked at two different elements of
23 each of these tools. So you look, within the
24 question there is, right, when we look at a PRO
25 question, we try to tease apart the

106

1 meaningfulness, right? One of the domains of
2 interests is what, is it valid, and another is,
3 is it generalizable, so we tease this apart.
4 So for part one, valid, we looked at what are

5 called the measurement properties. These are
6 really common characteristics of measurement
7 tools that help us to understand if, you know,
8 if it's a good tool, if it's meaningful, if
9 it's reliable.

10 So we looked at first what's called
11 content validity. This is whether patients
12 were involved in the development of the tool to
13 understand if the terminology in the questions
14 is widely understood by patients, and if that
15 terminology actually maps to the concepts that
16 you're trying to evaluate. If it's a pain
17 questionnaire, are you actually measuring pain,
18 are you measuring, you know, fatigue and, you
19 know, other stuff?

20 Number two, reliability, right? This
21 is test-retest. If you ask the same person the
22 same question over and over again, will it give
23 you the same answer because the responses in
24 the questions are really clear to them or, you
25 know, are they all over the map because the

107

1 question wasn't well structured and it's
2 confusing, right? If you ask me, you know, one

3 day if my pain is intolerable and another day
4 if my pain is excruciating, you know, that's
5 not a good question, you know, I might feel
6 both.

7 The third is what's called construct
8 validity, this is whether the question, if it
9 really reflects the underlying thing you're
10 trying to measure. So if you're asking about
11 sensory peripheral neuropathy, are you really
12 measuring sensory peripheral neuropathies?
13 This is generally done by looking at the
14 correlations or the associations of the
15 responses in the question to underlying
16 anchors. So you know, for example if you're
17 looking at peripheral neuropathy, you would
18 expect to see different scores in people who
19 are on taxanes or platinum or, you know, other
20 products that might be expected to interact
21 with neuropathy.

22 And then finally, clinical response,
23 this is really change over time, do the scores
24 change over time as expected?

25 So that's the valid part.

1 Then for generalizable, we looked at
2 whether the tool's been used in CAR T. As I
3 already explained, you know, it's a new product
4 so there's not that much accumulated
5 experience, although there are 11 trials, not
6 bad, but we looked also at the use of these
7 tools in the 65 and older population, just to
8 look at general pertinence and prior use of the
9 tool in the Medicare population.

10 Now question two is a little bit more
11 nuanced, I would say, and I want to just take a
12 moment on this before I launch into each tool.
13 To remind everybody, question two asks,
14 considering all PRO assessments in question one
15 with greater than or equal to a score of 2.5,
16 please vote whether or not those PRO
17 assessments combined have available supporting
18 evidence on each of the following desired
19 characteristics, and there are eight of them.

20 So I'm just going to very quickly
21 explain my interpretation, my interpretation
22 may be different from other people's
23 interpretation, but this is how we thought of
24 this. A, the breadth of the measures in
25 emotional, social and physical well-being. We

1 looked at whether the PRO tool includes those
2 specific quality of life domains of emotional,
3 social and physical well-being within the
4 assessment of the tool, that's a little more
5 straightforward.

6 B, quick throughput to apply to
7 clinical studies. We thought this just means
8 you can kind of get it up and going, you know,
9 pretty quickly for a trial.

10 C, is it transferable to community
11 practice settings? So we just simply looked,
12 has it been tested and used in community
13 settings in trials?

14 D, the measures are not sensitive to
15 differences in age, so we looked at whether
16 there's evidence that age alone does not sway
17 the scores, right, so if you have people of
18 differing ages and, you know, you put age into
19 your model, it doesn't, you know, mess with
20 your results, your findings.

21 E, the same question but for line of
22 therapy and so similarly, we looked to see if
23 line of therapy sways scores.

24 F, the measures are not sensitive to
25 comorbidities. You know, this is more

1 challenging, because you can look at whether
2 comorbidities themselves impact scores, right,
3 the way we do for, say, case mix adjustment and
4 quality assessments in clinical practice but
5 you know, this could be a problem if the
6 comorbidity has symptoms, right, the patient
7 with severe rheumatoid arthritis, they may have
8 pain from their rheumatoid arthritis and they
9 might be reporting on that pain, so if you have
10 a question that is agnostic to the etiology of
11 the pain, you might pick that up. That's a
12 little more challenging and nuanced, and I'll
13 address that a little bit as I go.

14 G, the measures are generalizable to
15 study of combinations of therapies. We simply
16 looked, has the tool been used with combination
17 therapies and comparing combinations versus
18 single agents.

19 And then finally, used in net benefit
20 analysis based on symptom burden and
21 well-being. To be honest, I found, I wasn't
22 sure exactly how to interpret this. The way
23 that we used it for our analysis, we looked to

24 see if the tool involved some sort of global
25 metric for the overall impact on the patient of

111

1 the patient-reported outcomes. I think there's
2 another way you could interpret this, which
3 would be, has the tool been used in net benefit
4 analysis of the value of a treatment, and if
5 that's the case, almost all the tools would be
6 a yes. That's not how we interpreted it.

7 Okay. So I'm going to get right into
8 it. So the PRO CTCAE, the patient version of
9 the CTCAE, as a disclaimer. When I was on
10 faculty at Memorial Sloan Kettering, my group
11 worked under contracts to the National Cancer
12 Institute to assist in the development of this
13 tool. We do not currently derive any funding
14 for this, this tool is the intellectual
15 property of the NCI, so I may have intellectual
16 interest in this tool but no financial
17 interest.

18 So again, as Paul Kluetz mentioned in
19 his FDA presentation, this is what we call a
20 library, a PRO library. It's a bunch of
21 individual items. You can pick and choose from

22 the library; if you want to measure fatigue,
23 you choose that item; if you want to choose
24 sleep disturbance, you choose that item. It
25 was developed for the explicit purpose of

112

1 patient adverse event reporting, really
2 symptomatic adverse events, it only includes
3 symptoms, not other kinds of AEs. You wouldn't
4 ask a patient about retinal detachment, for
5 example. There are 78 adverse events included,
6 it is mapped directly to existing standardized
7 lexicons for adverse event reporting,
8 specifically CTCAE and MedDRA, which are
9 commonly used in non-oncology trials. This is
10 free use, no license is required. There is an
11 adult version in use and a pediatric version
12 that's close to done.

13 So, these are the questions that I
14 alluded to earlier. Looking towards your
15 question one, areas of valid and generalizable,
16 if you look at the measurement properties for
17 whether we think it's valid, it is, the tool
18 does well across all of these categories,
19 confidence, validity, reliability, construct

20 validity and clinical responsiveness. It's in
21 use in two CAR T trials and it's been used in
22 numerous trials in the Medicare 65 and older
23 population.

24 In looking at question two per your
25 specific questions, for the breadth of

113

1 measurements in emotional, social and physical
2 well-being, this is really nonapplicable for
3 this tool, this is an adverse event tool, it's
4 not looking at quality of life per se in terms
5 of social or emotional or physical well-being.
6 I mean, it alludes to that, right? Adverse
7 events can impact these things but it's not
8 directly measuring them, so that's not
9 applicable.

10 It has been, it's ready to go, you can
11 drop it into a clinical trial tomorrow so, you
12 know, there's quick throughput. It's
13 transferable to the community settings, it's
14 been tested extensively in that setting. The
15 measures are not sensitive to differences in
16 age or line of therapy. Comorbidities don't
17 impact the scores but again, you know, if you

18 have a patient who has a lot of symptoms or
19 side effects from their arthritis drug, that
20 could be picked up. It's generalizable to
21 combinations. It does not have a measurement
22 of global benefit. The tool can be used to
23 evaluate benefit if that's your interpretation,
24 but there's no overall general global
25 measurement of net benefit in the tool.

114

1 Now as to the M.D. Anderson Symptom
2 Inventory, this is a very well established
3 tool, it's been around for a long time. It has
4 19 items, 13 specific symptoms, six questions
5 about how the symptoms interfere with
6 functioning. This is a symptom questionnaire,
7 not a quality of life questionnaire. It is
8 widely widely used in clinical trials. It also
9 performs very well in all of these question one
10 areas around it being valid and generalizable.
11 It hasn't been used in CAR T but it's been used
12 in many trials in the 65 and older population.
13 It also does very well on the question two
14 items. It does allude to emotional, social and
15 physical well-being in that it looks at the

16 impact of symptoms on these areas. It does
17 very well in all of the other categories, quick
18 throughput, community settings. It's not
19 sensitive to differences in age, therapy, or
20 comorbidities, with the caveat that I mentioned
21 earlier. It has been used in many studies with
22 combinations and again, benefit, it does have a
23 question as to the overall impact of symptoms
24 on well-being so again, depending on your
25 interpretation of this, I would say probably

115

1 it's a yes there.
2 The EORTC QLQ-C30 is also a very very
3 well established, well traveled tool. It has
4 30 items, five for physical functioning, 14
5 symptoms, and multiple multi-item scales for
6 cognitive, emotional, physical and social, so
7 it has it all, right? It's got the physical
8 functioning, it's got the symptoms, it's got
9 the quality of life domains. It's not designed
10 for adverse events reporting, however, so you
11 know, that's not a purpose of it, but for
12 efficacy or effectiveness, this is a well
13 traveled tool.

14 It also does extremely well across all
15 these valid and generalizable categories,
16 although the content validity wasn't initially
17 tested because the tool was developed before
18 content validity was a prominent expectation of
19 this kind of tool development, this was done
20 subsequently. It performs extremely well in
21 your question two items, really across all the
22 categories.

23 I won't belabor these because you have
24 my slides, except to highlight H again, the net
25 benefit. It does ask about overall quality of

116

1 life, it does have sort of these overall health
2 status questions, so by the criteria
3 Dr. Atkinson and I applied, I would say it
4 probably is a yes there.

5 The University of Washington Quality
6 of Life Tool is not a well-known tool. This
7 was included in the CMS packet and I was asked
8 to comment on it, and we did do all the
9 searches on it. It has six items for physical
10 functioning, six for psycho-emotional function.
11 It doesn't really do as well in question one.

12 Content validity was not established.
13 Reliability, not in English, only in Spanish
14 and Chinese. It has had construct validity and
15 responsiveness assessed. It's really been used
16 in a very limited number of trials, both in the
17 under and over 65 populations. It has not been
18 used in CAR T. And again, it really doesn't
19 perform so well in question two. You know, it
20 probably could be used quickly in clinical
21 trials, it just hasn't been used in that many
22 clinical trials. It's unclear, you know,
23 whether age, line of therapy or comorbidities
24 would impact the scores.
25 PROMIS, the Patient-Reported Outcomes

117

1 Measurement Information System, is a brief,
2 precise, fixed or tailored tool. It was
3 developed with NIH funding. This is also
4 publicly available. It includes physical,
5 mental and social well-being, as well as pain,
6 fatigue and sleep. This tool was meticulously
7 developed. It offers short forms. It offers a
8 sort of computerized adaptive testing approach,
9 kind of like if your kids took the SAT, you

10 know, depending on how you answer one question,
11 there's a subsequent question to get a more
12 precise estimate of what your actual score is.
13 But there are also single items, it's, you
14 know, really flexible. There are adult and
15 pediatric versions. It does extremely well in
16 all of your question one categories. It's
17 being used in two CAR T trials currently. It's
18 been used in many studies in the 65 and older
19 population as you can see above. Its measuring
20 properties really have been pristinely tested.
21 It also does extremely well in your question
22 two items really across the board. Again, you
23 know, I raise that question about age and net
24 benefit. You know, again, it does have overall
25 quality of life items in it so I guess, you

118

1 know, I would probably say yes, depending on
2 how you interpret that.
3 You know, the real limitation here is
4 that there are only a small number of domains
5 that you can measure with PROMIS, and so if you
6 want, you know, a wider number of things to be
7 measured in a trial, you're going to need

8 PROMIS plus something else, but for what it
9 measures, it really is excellent, in my
10 opinion.

11 The ESRA, I was asked to comment on as
12 well. This really is not well known. As
13 alluded to in Katherine's presentation, this is
14 really not a well-known tool. I'm sorry, this
15 is not a PRO measure, it's an electronic
16 questionnaire system, so it's not really a PRO.
17 It happens to include three PRO measures in it,
18 the QLQ-C30 which you've heard about, the PHQ-9
19 which we haven't talked about, which is
20 actually an excellent measure of psychosocial
21 distress, anxiety, depression, which is
22 commonly used to assess depression, and the
23 Symptom Distress Scale, with is really kind of
24 a lesser used symptom scale. It's not really
25 been well tested, it's been very few trials

119

1 and, you know, because it's been used so
2 seldom, because it's not a PRO, I didn't even
3 go into evaluating it for questions one and
4 two, it wouldn't do well because it just hasn't
5 been tested in that way. To me it's not

6 applicable to these questions.

7 The FLIC, this is a PRO tool but also
8 not really well known, it's an old measure. It
9 had 22 items, it has physical, emotional,
10 social function, well-being, pain and nausea.
11 We could actually only find one cancer trial
12 using this tool, so really not well traveled in
13 the oncology space. And so again, we really
14 didn't really go through the 1.A -- I'm sorry,
15 the questions for one and two for this, because
16 there's just no data to evaluate, it really
17 wouldn't perform well, again, in our opinion.

18 This graphic unfortunately, didn't
19 come over well when conveyed to CMS. It may
20 have come over better in the size it was
21 printed, or maybe it was censored.

22 (Laughter.)

23 The double question marks from me were
24 smiley faces and the other ones were sad faces,
25 but maybe CMS felt they should be a little

120

1 milder. But in our opinion, the ones with
2 these double question marks are tools that are
3 well established, well tested and perform well,

4 and if I were designing a trial, with the
5 caveats that Paul mentioned in his FDA
6 presentation, we want to make sure that the
7 tools are appropriate to the domains of
8 interest. These are tools that I would be
9 comfortable considering, but the frowning
10 faces, not so much.

11 All right. So just in the last
12 four-and-a-half minutes before I finish up,
13 there are some additional questions to the
14 panel. Are there other PRO assessments to
15 consider? I would say yes. One in particular
16 that I'd like to highlight called the FACT
17 GP-5, and this is a single item that asks
18 people if they are bothered by the side effects
19 of their treatment. This is a global
20 assessment of side effect burden. This is a
21 very helpful companion to the PRO-CTCAE, right?
22 Just to remind you, the PRO-CTCAE is the tool
23 where patients answer individual items about
24 their individual symptom side effects, right?
25 Do you have sleep disturbance? Do you have

1 taste disturbance? Do you have myalgia? This

2 is a global to go along with it. This is a
3 five-point response scale, it's well developed,
4 there's broad interest in using this, it's been
5 alluded to in numerous past FDA and EMA
6 presentations.

7 Are there additional desired
8 characteristics besides those in question two?
9 Yes, I think so. First the general, what we
10 call measurement properties, all these things
11 that Dr. Atkinson and I actually commented on
12 in our responses to you, content validity,
13 construct validity, reliability, sensitivity or
14 responsiveness, these are really key measuring
15 properties of an assessment tool, and really
16 both need to have been tested and demonstrated
17 to perform well for a good tool.

18 Prior testing in populations with
19 cancer. The availability of language
20 translations, this is essential not just in the
21 U.S., but particularly outside the U.S. for
22 international trials. And then, you know, I'd
23 say really importantly, does this include items
24 that are salient to the CAR T population?
25 There really needs to be evaluation in this

1 population, probably qualitative with
2 interviews, asking patients about what's going
3 on with them very broadly so that we can
4 understand what are the outcomes that are
5 salient to this population, so we can then say
6 is this the right PRO instrument to use?

7 And this is really on the sponsors,
8 right? The sponsors spend a lot of money
9 developing their measurement tools, conducting
10 these trials. This is an essential part of
11 understanding the patients' experience. The
12 sponsors should be going out to patients in
13 their trials asking what they're experiencing
14 so they can substantiate the PRO metrics in
15 their trials and particularly in their
16 registries. I think in the real world, not
17 just in the registration trials, this
18 information needs to be collected.

19 All right. In conclusion,
20 patient-reported outcomes provide valuable
21 information about the patient experience and
22 about the characteristics of products that
23 cannot be well captured in any other way.
24 There are well developed available
25 patient-reported outcome tools that can be used

1 readily in CAR T trials that could be used
2 tomorrow. Yes, we can do more work to hone it
3 down, to get more specific to figure out what
4 exactly would be best to measure, but these
5 tools are shelf ready in many cases, but we
6 should do further work to really hone down and
7 understand what are the outcomes of interest.

8 Assessment of physical function,
9 symptomatic adverse events and disease-related
10 symptoms should be considered in any given
11 trial of oncology, including in this
12 population. Thank you very much.

13 DR. ROSS: Great, thank you,
14 Dr. Basch, right on time, and to Dr. Atkinson
15 for his support of this presentation.

16 So now we are turning from our, to the
17 scheduled public comments portion of our
18 meeting. Each speaker will be given six
19 minutes to speak and we have one, two, three,
20 four, five, six speakers, because one was
21 unable to attend. And we are, as each speaker
22 comes to the podium, I ask that the next
23 speaker comes to the chair to keep us moving
24 efficiently, and just as a reminder, to

1 conflicts of interests. And our first speaker

2 is Dr. Kathryn Flynn.

3 DR. FLYNN: Hi. So, just a note that

4 we submitted slides before we knew how long we

5 would have to talk, so I will be skipping over

6 some slides, but they are all available of

7 course online. So yes, I am Kathryn Flynn, I'm

8 an associate professor of medicine at the

9 Medical College of Wisconsin, and I am also as

10 of November last year, now senior scientific

11 director for patient-reported outcomes at the

12 Center for International Blood and Marrow

13 Transplant Research, the CIBMTR. So I am here

14 representing the CIBMTR, CIBMTR paid for my

15 travel to attend the meeting. I don't have any

16 personal financial disclosures related to

17 CAR T, but CIBMTR as an organization receives

18 federal funding from NIH, HRSA and the Navy,

19 and as you heard earlier today, has a cell

20 therapy registry contract with Kite.

21 So CIBMTR, for those of you who aren't

22 aware, collects and maintains clinical outcomes

23 data on all allogeneic transplants as required
24 by U.S. law. The centers also voluntarily
25 submit data on auto transplants, and worldwide

125

1 centers additionally submit data voluntarily.
2 So related to blood and marrow transplant
3 research, we, the registry has information on
4 nearly a half million, 475,000 patients that
5 are included in the database.

6 And we are now in the process of
7 implementing an e-PRO system that will be
8 available for use by the registry and the
9 affiliated trials network, the BMT CTN. So, I
10 will skip this one if I can. No. There we go.

11 So we looked last year at the BMT CTN
12 studies that have collected PROs, and in 18
13 trials performed since 2004, half of those had
14 included as a primary or secondary outcome a
15 patient-reported outcome measure. Many
16 different measures have been used in these
17 studies, most commonly the SF-36 and FACT-BMT.
18 But we were looking to make some
19 recommendations going forward and thinking
20 about the implementation of this e-PRO system,

21 of what to recommend and so -- I'm having
22 trouble with this. I have to press it really
23 hard, I guess. Okay.

24 So we had a couple of recommendations,
25 first to use the same core measures in all

126

1 research studies of HCT patients, use a system
2 that's free and easy to access, try to ensure a
3 low burden for the patient who's of course
4 undergoing a difficult treatment, using a
5 single versatile measurement system for core
6 concepts supplemented with additional measures
7 as necessary. And so thinking about the
8 registry context, the core system that was
9 recommended in this article by Brown and Shaw
10 was PROMIS. Even pressing really hard, I'm
11 having some difficulty there. Okay. I don't
12 know if it needs new batteries potentially.

13 DR. ROSS: Don't worry, you can have
14 another minute.

15 DR. FLYNN: Okay, thank you.

16 So we've already hear about PROMIS,
17 I'm not going to go into detail there, but it
18 met those recommendations that we were hoping

19 for. Okay.

20 So just to reiterate a point just made
21 in the last talk, really the most appropriate
22 PROs to collect in cell therapy are unknown, so
23 there really is some foundational qualitative
24 work that needs to be done. We can probably
25 make some good guesses about some of the

127

1 domains that will be, that will need to be
2 measured, but to get into more specifics, there
3 does need to be some additional work done, I
4 think. However, once relevant constructs are
5 identified, there are absolutely multiple
6 available high quality measures that can be
7 used, and can choose the appropriate measures
8 and time points at that time.

9 Centers need a structure and process
10 to systematically collect PROs, and so what I'm
11 going to do with my remaining couple minutes
12 here is just describe the components of our
13 CIBMTR e-PRO system. So as you can see here,
14 the e-PRO system is the integration of
15 electronic patient-reported outcome collection
16 with our existing systems for collecting other

17 information, clinical information. So in the
18 bottom right we use Salesforce to track our
19 studies, participants, time points, activities.
20 At the bottom left is our integrated data
21 warehouse where the clinical outcomes data from
22 multiple sources are stored for research
23 retrieval. Top left as I mentioned, we did
24 identify PROMIS measures as that core system,
25 but certainly other measures can be added as

128

1 necessary, and so certainly for some of the
2 trials within the BMT CTN already we're adding
3 items from the PRO-CTCAE for those specific
4 studies.

5 And then to the right, note that we're
6 using Qualtrics as the patient interface for
7 administering patient-reported outcomes, so a
8 very flexible user friendly system for patients
9 to complete those PROs.

10 So, this system was developed with
11 funding from the Navy grant, our partner, the
12 National Marrow Donor Program, and our pilot
13 e-PRO study just started this summer. It is a
14 six-site pilot trial where we're examining

15 quality of life and PROMIS measures in patients
16 as part of the CMS MDS study. This is just
17 cross-sectional to explore the use of our
18 system, but certainly longitudinal studies will
19 be feasible as well.

20 There is, just a note here, this is
21 just a brief overview of kind of the study
22 procedures, but to note that significant
23 planning and effort is required to manage this
24 central coordination of multisite PRO data
25 collection in terms of following patients at

129

1 multiple sites and getting their, you know,
2 being able to contact them directly, when
3 previously through the registry they are only
4 contacted by their local center, and so for the
5 CIBMTR directly to contact them is new.

6 And then the last thing I wanted to
7 mention is related to this. We've recently
8 organized a multidisciplinary working group of
9 about 30 or so people with expertise in many
10 different fields as part of a late effects task
11 force. And again, this is in the context of
12 BMT, but our goal is to develop a strategy for

13 the collection of late effects in patients that
14 are reported to the CIBMTR. So of course it's
15 a very heterogeneous population who's receiving
16 transplants, and so focusing on which
17 populations we should focus on to get kind of
18 routine PRO collection, what domains we need to
19 focus on, what measures to use, what time
20 points, these are all questions that we're
21 answering within the context of this task
22 force, and we have a nine-month time frame, we
23 started this summer and we're going to present
24 our recommendations at the Transplant and
25 Cellular Therapy conference which, in February

130

1 of 2019. That's it.

2 DR. ROSS: Thank you. Right on time.

3 Our next speaker is Karen Chung, the senior

4 director of health economics and outcomes

5 research for Juno Therapeutics.

6 DR. CHUNG: Good morning, everyone.

7 Again, my name is Karen Chung, senior director

8 of health economics and outcomes research at

9 Juno Celgene. I have been involved in

10 patient-reported outcome strategy analysis,

11 communication, for over 15 years in the
12 pharmaceutical industry, and I'm currently
13 employed by Juno Celgene and do have stock
14 options with them as well as other companies.

15 Celgene is developing investigational
16 CAR T-cell products which are not FDA approved,
17 and any data we discuss today is subject to
18 change. CAR T-cell agents are novel agents
19 which fulfill an unmet need in patients who
20 have not responded to front line therapy,
21 including Medicare patients. They have limited
22 effective treatment options as well as limited
23 survival. CAR T-cell therapies have been
24 administered across sites of care and as novel
25 therapies have a long-term follow-up to

131

1 continually assess efficacy as well as safety.
2 And while AEs are specific to each CAR T-cell
3 therapy, AEs are being increasingly identified
4 very quickly and managed very efficiently. And
5 while PRO measurement is important as it
6 represents the patient voids, it is very
7 complex from the clinical trial perspective and
8 even more so from the clinical practice

9 perspective.

10 Celgene is developing two CAR T
11 therapies which have the potential to
12 significantly transform patient outcomes.
13 JCAR017 is a CD19-directed CAR T-cell therapy
14 for non-Hodgkin's lymphoma. bb2121 is a B-cell
15 maturation antigen-directed CAR T-cell which is
16 currently in clinical trials for multiple
17 myeloma, and the other was for non-Hodgkin's
18 lymphoma. Each CAR T-cell therapy has a unique
19 targeted patient population, safety profile and
20 manufacturing process. As the science of CAR T
21 is rapidly evolving, we urge CMS to provide
22 flexibility to consistently ensure patient
23 access across all these disease states.

24 While we strongly support the
25 incorporation of the patient voice into

132

1 clinical trials, we firmly believe PROs should
2 not be a condition of coverage due to the
3 significant barriers in the clinical practice.

4 And again, while we don't think PROs
5 are appropriate for coverage, we did want to
6 take a look at the question that CMS had asked

7 the panel to consider, and of the seven
8 instruments that were delineated, we feel that
9 four of the seven instruments could be
10 appropriate for clinical trials involving the
11 Medicare population.

12 The first is the PRO-CTCAE which
13 Dr. Basch has mentioned. It does cover a wide
14 range of symptoms and so for symptom
15 assessment, it is a very useful tool.

16 The MDASI, or M.D. Anderson Symptom
17 Inventory, covers a wide range of symptoms.

18 The EORTC-QLQ-C30, which we
19 implemented in the JCAR017 and bb2121 trials,
20 is a comprehensive instrument that assesses
21 symptoms, functioning, as well as
22 health-related quality of life.

23 The last instrument is PROMIS, which
24 is basically an item bank, which also covers
25 various symptoms as well as functioning.

133

1 This next question is really
2 considering all these instruments together, and
3 together, we feel that they have to have the
4 breadth of measurement specifically in

5 emotional, physical as well as social
6 well-being. They can be applied and have been
7 applied to clinical studies and can be used in
8 the clinical practice setting as well.

9 We didn't, we felt that they were
10 sensitive to differences in age, lines of
11 therapy, as well as comorbidities, and felt
12 that they were also generalizable and can be
13 used in combination therapy trials.

14 From end to end, PRO implementation in
15 clinical trials involves significant resources
16 in terms of both budget as well as head count.

17 We need to support instrument selection,
18 licensing, site training, data collection,
19 analysis, as well as interpretation. PRO
20 assessment in clinical practice is typically
21 even more challenging due to the lack of
22 infrastructure. Institutional barriers could
23 include the healthcare provider burden, the
24 additional FTEs that are necessary to
25 coordinate administration and data collection,

134

1 and the lack of consensus on which is the most
2 appropriate patient-reported outcome tool to

3 use. And then there's the, following the
4 scoring, the expertise needed in scoring and
5 analysis as well as interpretation.
6 Perhaps even more notably are the
7 patient barriers, and so we're asking these
8 Medicare patients who are typically very sick,
9 third line and beyond, to respond to these
10 questionnaires. They may have poor performance
11 status and they may also face technology
12 barriers as we move to more electronic
13 platforms to collect this data, so it's
14 something that they might not have the
15 experience to really manage to do well.

16 So while patient-reported outcomes are
17 key measures in hematology and oncology trials,
18 including the CAR T-cell therapies, there are
19 important considerations, which includes the
20 wide range of tumor types and stages, also the
21 broad areas of concepts. You know, are we
22 interested in physical functioning,
23 disease-related symptoms, adverse events, or
24 health-related quality of life, you know, which
25 do we focus on. And due to the diverse nature

1 and range of symptoms across and within tumor
2 types, as well as the administrative burden,
3 assessing patient-reported outcomes with
4 validated instruments is complex.

5 Celgene has incorporated relevant PRO
6 assessments in CAR T-cell clinical trials to
7 complement clinical safety and efficacy data,
8 which we feel is very important. However,
9 while we feel it's very important in the
10 clinical trial setting, we don't feel they
11 should be a condition of coverage.

12 DR. ROSS: Great, thank you very much.

13 DR. CHUNG: Thank you.

14 DR. ROSS: Our next speaker is
15 Dr. Surbhi Sidana, from the Mayo Clinic.

16 DR. SIDANA: Good morning and thank
17 you for this opportunity. I am a
18 hematologist/oncologist and I am not a PRO
19 expert, but I'm leading two studies of PROs,
20 including one of CAR T, and I just want to
21 speak to the panel of the challenges we have
22 faced in trying to design and lead the study.
23 So, here are my disclosures, and ASBMT is
24 paying for my travel to this meeting.

25 This data has already been shown so I

1 will not belabor this data anymore. However,
2 CAR T-cell therapy is a novel therapy which has
3 shown exceeding promise in patients who did not
4 have other treatment options before. It has
5 unique side effects, and some of the side
6 effects we are not even aware about in the long
7 term.

8 There is, the process for assessing
9 PROs has already been discussed in detail and
10 so I want to focus on the approaches of
11 assessing PRO in patients with CAR T-cell
12 therapy. We have conducted several studies in
13 the last couple of years in hematology which
14 have used various methods of assessing PROs.
15 So let's focus on the challenges of conducting
16 the study, and this is from my personal
17 experience in conducting the study.

18 So what is an optimal outcome that we
19 should use and what instruments should we be
20 using? Seven instruments are being asked, you
21 know, you're rating seven instruments today.
22 In my study I'm using a completely different
23 instrument because on my clinical judgment I
24 thought that was a better instrument, along
25 with some of the instruments we're reviewing

1 today. So even though we have validated
2 instruments, not everybody agrees that those
3 instruments should be the same in different
4 studies.

5 Second, how do we account for missing
6 data? A lot of patients who are undergoing
7 CAR T-cell therapy will have side effects, get
8 in to the ICU, and these patients potentially
9 will have significant missing data leading to
10 bias. A lot of times patients come to referral
11 centers like Mayo Clinic for their treatment,
12 and then they go back to their local doctor.
13 So if we are going to use long-term data, we
14 might miss patients who are now gone from the
15 referral center.

16 And then the third thing, do we just
17 collect this data or do we do something about
18 it? As a doctor it's challenging. You're
19 asking patients to give their symptoms and then
20 you feel you're ethically obliged to do that,
21 this also keeps the patients engaged. However,
22 there are problems with that. It requires a
23 huge infrastructure. It also requires

24 consensus to say when are we going to
25 intervene. For example, if you ask a patient

138

1 for pain, do we intervene for a pain at seven
2 out of ten, eight out of ten or nine out of
3 ten? Is seven different than eight? And
4 similarly for other symptoms as well. That
5 will also require a lot of resources that
6 centers and the community will not have
7 present.

8 The other thing that is challenging,
9 we want to assess how is the patient's quality
10 of life in respect to the side effects they
11 experienced initially, and that's problematic
12 because right now all the different CAR T
13 trials are assessing toxicity differently,
14 Grade 3 CRS in one trial is not the same as
15 Grade 3 CRS in another trial. The management
16 of toxicities at my institution is very
17 different from management of toxicities at
18 another institution, so this is going to impact
19 how we interpret this data and what this data
20 means.

21 And then as many people have already

22 alluded, CAR T-cell studies are currently being
23 conducted in various hematologic and oncologic
24 malignancies and currently are approved for two
25 diseases, ALL as well as non-Hodgkin's

139

1 lymphoma. We expect that soon they will be
2 approved for other diseases like multiple
3 myeloma, and the short-term toxicity has really
4 varied across different trials based on what
5 instrument, what construct and what disease.
6 For example, a lot more CRS was seen in
7 non-Hodgkin's lymphoma than was seen in
8 multiple myeloma, so how can we put all of
9 these patients together with different diseases
10 which have different symptoms, different
11 constructs, and say we're going to measure all
12 of these the same?

13 And then, what is our benchmark? As
14 has been shown before, these patients with
15 non-Hodgkin's lymphoma previously did not have
16 many treatment options, their median survival
17 was six months, and now it's not being reached.
18 So how do we decide what's reasonable quality
19 of life or what's reasonable physical function

20 in these patients? How do we compare them to
21 historical controls or even how do we compare
22 them to their baseline what is reasonable?

23 So I think there's a lot of room for
24 study at this point. We are conducting pilot
25 studies at my institution and several other

140

1 institutions to address what's the feasibility,
2 where is the missing data, how can we do this
3 better, and do we need specific measures
4 specific to CAR T-cell therapy? And then in
5 the context of a working group, we need to come
6 up with a consensus before we design a
7 larger-scale study. I think at present we need
8 at least 12 months to come up with a consensus
9 based on preliminary data from our study and
10 the studies being done at other institutions.

11 Thank you.

12 DR. ROSS: Thank you, Dr. Sidana. Our
13 next speaker is Dr. Cori Abikoff, the medical
14 director for CAR T at Novartis.

15 DR. ABIKOFF: Thank you very much for
16 allowing me to speak today. I'm Cori Abikoff,
17 I'm a medical director for the CAR T program at

18 Novartis Pharmaceuticals Institution. My
19 expertise is in pediatric stem cell transplant
20 as well as adult and pediatric apheresis. I am
21 a paid employee of Novartis.

22 Kymriah, the Novartis CAR T product,
23 is the first FDA-approved gene therapy product
24 on the market. It is currently approved in two
25 indications, both pediatric and young adult

141

1 relapsed or refractory ALL, as well as adult
2 relapsed or refractory large B-cell lymphoma.
3 It's been extensively studied in clinical
4 trials, both for validated clinical outcomes as
5 well as PRO data, as was previously presented
6 by my colleague, Dr. Ilia Ferrusi. It also
7 continues to be studied in the outpatient, in
8 the commercial setting under a risk evaluation
9 and mitigation strategy.

10 As was previously discussed, chimeric
11 antigen receptor therapies essentially are a
12 living drug, which allows the patient's tumor
13 to be targeted by the patient's own immune
14 system through a process of gene modification.
15 This is a complex process that requires that

16 the patient's own immune cells be removed, gene
17 modified, and returned to the patient in a
18 setting which has a degree of complexity that
19 means that the timeline must be observed due to
20 the significant burden of illness in these
21 patients.

22 Novartis has chosen to study a
23 population of patients who have significant
24 burden of illness. Although pediatric ALL is
25 not a common condition, it is the most common

142

1 cancer of childhood, and relapsed and
2 refractory ALL represents the most common cause
3 of childhood cancer death, falling only behind
4 accidental injuries and inflicted injury,
5 whereas diffuse large B-cell lymphoma is a more
6 common illness and one that is more likely to
7 affect the Medicare population.

8 In both cases when the disease is
9 relapsed and refractory, there are incredibly
10 limited treatment options, and these usually
11 require incredibly toxic therapies that in
12 order to reach standard of care with even
13 acceptable outcomes requires the use of a stem

14 cell transplant.

15 In the JULIET trial where we treated
16 patients with diffuse large B-cell lymphoma,
17 you can see that approximately a quarter of our
18 patients were over the age of 65 and these
19 patients were heavily pretreated, with more
20 than half of them having received three or more
21 prior chemotherapies and having been refractory
22 or relapsed to those therapies, and almost half
23 of these patients having already received a
24 standard of care therapy of autologous stem
25 cell transplant.

143

1 Unlike the data that's previously been
2 shown regarding complete responses as low as
3 seven percent, the JULIET trial had a best
4 overall response of 52 percent, complete
5 response rate of 40 percent. This is really
6 unheard of in this population. And when we
7 look across the groups again, you can see that
8 the patients aged 65 or older had a 59 percent
9 overall response rate, consistent across all
10 subgroups with the overall response in our
11 trial.

12 But more importantly is not just the
13 response, but the ability of these responses to
14 be sustained, and you can see that in patients
15 who were complete responders, there was a 95
16 percent overall survival at one year and 78.5
17 percent of patients were relapse-free during
18 this time point.

19 In addition because of the living
20 nature of this drug, patient response is not
21 determined by their initial response, but in
22 fact 54 percent of patients will progress from
23 a partial response to a complete response over
24 time frames as long as nine to 12 months.

25 These are not benign therapies, and

144

1 certainly we acknowledge the adverse events
2 that need to be followed. Here in the JULIET
3 trial you can see that adverse events greater
4 than, at Grade 3 or higher, included 23 percent
5 of patients with CRS, and 18 percent of
6 patients with neurological toxicity. We also
7 evaluated toxicity such as infection, and
8 longer-term toxicities such as
9 hypogammaglobulinemia.

10 It is important to understand that
11 Novartis too has begun collaboration with the
12 CIBMTR in order to provide a registry which
13 will follow 2,500 patients, including at least
14 1,500 patients with diffuse large B-cell
15 lymphoma, for 15 years after their therapy.
16 This is in accordance with the FDA guidelines
17 and includes an incredibly robust amount of
18 information, including patient-level
19 characteristics as well as disease
20 characteristics, and the efficacy and short-
21 and long-term safety information that can be
22 followed for these patients. By partnering
23 with the CIBMTR, we choose a leader in registry
24 data for cell therapy, and one that all of our
25 sites are familiar with. By doing so, we

145

1 believe this will encourage early and robust
2 use of this registry data, and encourage and
3 ensure that the real world data that's
4 collected really reflects the patient
5 population who is being treated with Kymriah.
6 In addition to this by partnering with the
7 CIBMTR, the data is not only owned by Novartis

8 but it actually belongs, in fact belongs in the
9 purview of CIBMTR, allowing access to that data
10 and the analysis sets that can be considered to
11 be done by CIBMTR and their research networks,
12 as well as Novartis and health authorities.

13 As a clinician not far out from being
14 part of the care provided to patients who would
15 be receiving Kymriah, I am not, the importance
16 of treating patients and including them in
17 decisions about their care is not lost on me,
18 but Novartis does urge CMS to leverage the
19 existing data as well as the robust mechanisms
20 for further data collection in order to make
21 decisions about how best to approach payment
22 decisions. Thank you.

23 DR. ROSS: Thank you, Dr. Abikoff.
24 The next speaker is Dr. Merav Bar, assistant
25 member of the Fred Hutchinson Cancer Research

146

1 Center.

2 DR. BAR: I am Merav Bar, I'm an
3 assistant member at the Fred Hutch in Seattle
4 and I'm a transplanter, and I also take care of
5 patients after CAR T-cell therapy, and I'm also

6 part of the long-term follow-up team for
7 patients after transplant, and we are now
8 building also our long-term follow-up for
9 patients after receiving CAR T-cell therapy.
10 And today I'm mainly focused on question number
11 four regarding timing of evaluations of PROs in
12 patients after CAR T-cell therapy and mainly
13 for the long-term follow-up of those patients.

14 My disclosure, I have no personal
15 financial or intellectual conflicts of
16 interest. However, I just learned after I
17 submitted this slide that a member of my family
18 has shares in Bluebird.

19 For long-term follow-up of patients
20 after CAR T-cell, most patients participating
21 in CAR T-cell studies have been followed only
22 for a short period of time, most studies for
23 one or two years after they receive treatment.
24 And the two commercial CAR T-cell products have
25 only been approved in the last year by the FDA.

147

1 Therefore, the data regarding those patients is
2 also limited in time. So, currently there is
3 only limited data regarding the long-term

4 effects of those treatments.
5 Main concerns regarding long-term
6 effects of CAR T-cells are prolonged B-cell
7 aplasia with a hypogammaglobulinemia, acquired
8 infections secondary to that, subsequent
9 malignancies, and also new incidence or
10 exacerbation of neurologic or autoimmune
11 disorders.

12 There are objectives of a long-term
13 follow-up after CART T-cells, which are to
14 identify and mitigate the long-term risks of
15 patients receiving treatment, and capture
16 delayed adverse events.

17 There are several challenges in
18 long-term follow-up of patients after CAR T-cell
19 therapy. Most of them are the heterogeneous
20 patient populations, the variety of the
21 constructs of the CAR T-cells product.

22 Although currently the two approved products
23 and also for most of the products that are
24 under investigation target the CD19, in the
25 future we will see more products with different

1 targets, that they will affect the toxicity and

2 the safety profiles of those products.

3 There is a transition of care of the
4 patients; most of the patients come to big
5 centers in order to get the CAR T-cell therapy.

6 However, after a short period of time of a
7 month or two they return back to their
8 referring physician, so it is a challenge to
9 follow them for the long term.

10 Although there is very good responses
11 that have been reported with the CAR T-cell
12 products, there is still a relatively high rate
13 of relapse of those patients and therefore, the
14 patients are subsequently exposed to other
15 treatment which will affect how the patients
16 are feeling, their quality of life and side
17 effects that you would see in the long term.

18 And additionally, patients have multiple
19 comorbidities that will affect the PROs.

20 And there are also specific challenges
21 when you are talking long-term quality of life
22 after CAR T-cell therapy. So for example,
23 there is no validated instrument for quality of
24 life and we see that there are different
25 options that can be used, there is lack of

1 uniformity between centers. So although there
2 is a number of centers that incorporate PROs
3 into evaluation of patients after CAR T-cell
4 therapy, there is no uniformity, and also, we
5 don't know what optimal study design is.

6 In addition, other people here also
7 reported about the significant resources that
8 are indicated, so we need the resources in
9 order to build the questionnaires into
10 electronic forms, to follow-up with the
11 patients after leaving the treatment center
12 back to their referring physician, and we need
13 a lot of resources in order to collect the data
14 and then to analyze the data.

15 In our institution we right now are
16 studying a pilot study to evaluate a patient
17 after CAR T-cells and the objective is mainly
18 feasibility, and we are using mainly the PROMIS
19 Global Health and PROMIS-29, which have been
20 validated in the transplant setting. And
21 currently as I said, there is a variability
22 between centers and there are only a small
23 number of studies that are currently ongoing,
24 and we support a collaborative work group in
25 order to provide recommendations for the

1 instrument to be used, unify the study design,
2 harmonization of the data, and potentially
3 define a multicenter study between
4 institutions. So currently, we think that
5 efforts should be made in order to incorporate
6 the PROs in CAR T-cell studies. However, we
7 don't feel that PRO should be mandated for
8 payer reimbursement for CAR T-cell therapies.

9 DR. ROSS: Great, thank you, Dr. Bar.
10 Just before, I want to confirm that Dr. Heather
11 Jim is not in the audience because she wasn't
12 able to get here today. Good.

13 So our last speaker will be Dr. Gunjan
14 Shah, hematologic oncologist at Sloan
15 Kettering, who's representing the American
16 Society for Blood and Marrow Transplantation.

17 DR. SHAH: Hi everyone, thank you for
18 allowing me to speak with the committee. I am
19 a bone marrow transplant physician and also
20 work on cellular therapies, as well as part of
21 the health-reported outcomes program at MSK,
22 and I am receiving travel funds today and am
23 speaking on behalf of our program as well as
24 the ASBMT.

1 last several hours about what patient-reported
2 outcomes are and the differences with the
3 different scales, and we agree with a lot of
4 the comments already presented.

5 What I'd like to do with my time today
6 is present to you how we have used several
7 different scales and changed over time and
8 incorporated them into different trials, as
9 well as how we are converting these into a
10 standard of care approach across our entire
11 service, as well as for the CAR T-cell
12 patients, in terms of how to capture these by
13 paper surveys and our conversion to an
14 electronic process, and whether we're going to
15 use them for research and clinical care, and
16 how that works.

17 So, I present this today just as a
18 review article that was done in Transplant
19 looking at 114 studies, and you've learned
20 today along the way of how many different
21 patient-reported outcome measures there are,
22 and why they can be used in different ways, and

- 23 how they do tend to cluster around certain
- 24 symptoms and certain assessments that can be
- 25 used at different times.

152

1 On the upper right you can see a
2 picture of sort of the different subscales of
3 the MDASI that are disease-based, and what
4 we've used over the last five to ten years in
5 many of the transplant trials, specifically the
6 autologous transplant trials, has been the
7 MDASI myeloma scale. And what we've been able
8 to do in that and the reason we use it is it's
9 been able to be done at several time points
10 through the first 30 days, and you've seen
11 today that there are differences in kind of the
12 scale of early toxicities and sort of later
13 recovery. And what we've done is been able to
14 look at changes over time using an area under
15 the curve method, and so being able to condense
16 a lot of that information into one data point
17 that can be compared, especially in
18 intervention studies where you're really trying
19 to affect the system burden as opposed to just
20 collecting some of this information.

21 On the bottom right, you've seen this
22 already today, is the PRO-CTCAE, and we've
23 incorporated this into more recent trials and
24 used the symptom bank in a way to actually
25 incorporate similar questions to the MDASI to

153

1 see if patients really answered the questions
2 the same way. We also in our long-term
3 maintenance trials and microbiota trials have
4 specifically taken out the questions that are
5 related to diarrhea and constipation and other
6 GI symptoms, and have been able to correlate
7 those with the collected stool samples.

8 On the upper left you see the PROMIS
9 score that's also been described many times
10 today, and the reason I present this here is
11 that we are in the process of converting from
12 the MDASI over to the PROMIS scale to better be
13 generalizable across centers and as you've
14 seen, you know, the plans from the CIBMTR and
15 several other centers that have presented
16 today, and so in an effort to be able to
17 combine data, we are switching over to this
18 scale.

19 The bottom left, you can see sort of
20 what the paper version of a survey looks like,
21 and sort of a scale system of this as being a
22 five-point scale versus some others being
23 ten-point scales.
24 Our informatics colleagues and
25 surgical colleagues, using a grant from PCORI,

154

1 have converted the MSK system from a paper
2 format to what they call MSK Engage, or an
3 online system for collecting some of this
4 information, and we're going to adopt this over
5 to the transplant service and cellular
6 therapies.

7 On the left side you can sort of see a
8 particular patient's symptoms over time, and
9 this is going to be available in the clinic,
10 that you can look at a particular patient,
11 convert it into their electronic record, and
12 sort of follow them over time for a particular
13 patient. Partly this is important because we
14 are, and our institution has determined that it
15 is important to act in some way on this
16 information in real time, and so you can set

17 criteria of if you are above a particular
18 score, that they will send a message both to
19 the patient to call the office, but also to the
20 office practice nurse to call the patient and
21 determine if further things need to be done
22 about it.

23 On the right side you can kind of see
24 information sort of that was presented by other
25 colleagues today of how do we present that

155

1 information and what do we do with it in terms
2 of both a research and clinical following over
3 time. And so we have software where you can
4 aggregate this data across trials, across
5 patients, and present data in a very
6 interesting way to be able to look at both
7 intervention trials, as well as just following
8 over time.

9 And so we're going to be incorporating
10 all of this into our proposed new plan going
11 forward.

12 And so, we know in the CAR T-cell
13 space that patient-reported outcomes are still
14 in development and too early to mandate in

15 terms of coverage. However, we do agree that
16 these are important to capture and study in
17 both the clinical trial and commercial setting,
18 which is what we are embarking on as well now,
19 that we are going to use the PROMIS scale,
20 PROMIS-29, and do weekly assessments, and
21 follow that with monthly assessments for the
22 first year using our electronic system, and be
23 able to capture whether this is partly feasible
24 and partly their scale over time.

25 One of the interesting things in this

156

1 and part of the discussion in our switching
2 from MDASI to PROMIS was the time frame of all
3 of this, that the MDASI scale was in a 24-hour
4 recall period versus the one-week recall period
5 of the PROMIS scale. There are sort of pluses
6 and minuses obviously on both sides of this,
7 but one of the things that, we think that some
8 of the missing data can probably be accounted
9 for by having this every seven day scale, that
10 there are those days where you were in the ICU
11 or you weren't able to answer some of the
12 questionnaires on any sort of every 24-hour

13 scale, but over the last week be able to
14 aggregate some of that data, and potentially
15 account for less missing data with that.
16 The other sort of further along
17 questions that have been asked by the committee
18 in terms of timing and feasibility, we do agree
19 that the three- to six-month window seems to be
20 the most reasonable option because of the
21 patients going back as has been described by
22 other people, and we do think that the use of
23 technology can allow for more collections over
24 time, and we look forward to working with CMS
25 and the rest of the people who have discussed

157

1 today about doing this over time. Thank you.
2 DR. ROSS: Thank you, Dr. Shah. That
3 concludes our scheduled public comment period.
4 We have had one individual sign up for
5 the open public comment period and they have
6 been told that they will have one minute at
7 this front mic to make comments, and that is
8 Mallory O'Connor. Please introduce yourself,
9 and make sure to disclose your conflicts of
10 interest.

11 MS. O'CONNOR: Thank you. My name is
12 Mallory O'Connor, with the Biotechnology
13 Innovation Organization. BIO is an industry
14 trade association, so we do represent
15 manufacturers of CAR T-cell therapies.

16 And I will be very brief here today,
17 but thank you for your time. The Biotechnology
18 Innovation Organization appreciates the
19 opportunity to provide comments to the MEDCAC
20 during this meeting on the state of evidence
21 for CAR T-cell therapies.

22 BIO is the world's largest trade
23 association representing biotechnology
24 companies, academic institutions, and state
25 biotechnology centers and related

158

1 organizations. We appreciate the committee's
2 focus on developing better understanding of the
3 patient experience and PROs in cancer clinical
4 studies and care. BIO believes that patients
5 must be involved in decision-making regarding
6 their care and that patients and patient
7 advocacy organizations play a vital role
8 throughout the drug development process as they

9 know what desired outcomes, risks, and other
10 considerations are most appropriate for their
11 disease states and the diseased states that
12 they serve.

13 We believe an open stakeholder
14 dialogue on PROs is an important and useful
15 exercise across many therapy areas, but we have
16 significant concerns around the use of PROs in
17 governing coverage decisions, particularly for
18 this new therapy area serving vulnerable
19 Medicare beneficiaries. It is critical to
20 ensure that Medicare patients are able to
21 receive timely access to the highest standard
22 of treatment for their health condition.

23 We therefore urge MEDCAC and the
24 Agency to move forward cautiously in the NCA
25 process and not to incorporate PROs into

159

1 coverage determinations for CAR T. BIO's
2 position is detailed further in written
3 comments submitted to MEDCAC in advance of this
4 meeting, and in response to the NCA. Thank you
5 very much.

6 DR. ROSS: Thank you very much.

7 So, that concludes the morning session
8 of the formal presentations and both the
9 scheduled public comments and open public
10 comment period. We are running a half an hour
11 ahead of schedule, which I was told is a good
12 thing, that will allow people to get into the
13 cafeteria before the CMS lunch rush.

14 People are asked to return to this
15 room in 60 minutes, by 12:30, so you actually
16 have 63 minutes to eat lunch.

17 MS. ELLIS: Excuse me. When we come
18 back from lunch, if all of the presenters could
19 please sit in the very first row where it says
20 reserved, for the second half? Thank you.

21 (Luncheon recess.)

22 DR. ROSS: If people could start
23 coming in and taking their seat, I just want to
24 remind all presenters to take an assigned seat
25 in the front row.

160

1 MS. JENSEN: All right, we're going to
2 get started because I want to make sure that
3 everybody is able to get out on time to make
4 their flights.

5 So for the panel and for the speakers,
6 this is the time for the panelists if they have
7 any questions, that they can ask any of the
8 speakers those questions. We have an hour, so
9 hopefully we can keep our answers succinct as
10 best as possible, so that we can get through
11 everybody's answers and all the panelists'
12 questions, so that they will be able to answer
13 our 23 questions at the end of the meeting.
14 All right.

15 DR. ROSS: Great, so at this point
16 I'll just open it up to the committee to see if
17 anyone has questions for the presenters.

18 DR. GOSS: I have a couple of
19 questions, one is for the panel members or for
20 the speakers. Do either of the existing CAR T
21 therapies that were approved by the FDA have a
22 labeled claim for PRO outcomes? We heard that
23 the FDA has a very clear set of standards for
24 PRO outcomes. Have either of those products
25 had a labeled claim that reports PRO data, or

161

1 do they have PRO data reported as part of their
2 clinical trial endpoints on the label?

3 DR. GO: Hi again, Will Go from Kite.

4 We do not have any labeled claim to my

5 knowledge in our USPI for PROs.

6 DR. GOSS: Okay, thank you.

7 DR. ABIKOFF: Novartis also does not

8 have any labeled claim with regard to PROs

9 within our U.S. label.

10 DR. GOSS: Do you have it in other

11 labels?

12 DR. ABIKOFF: Within our European

13 labels.

14 MS. ELLIS: Excuse me. Could you

15 please state your name for the record?

16 DR. ABIKOFF: Sorry. Cori Abikoff,

17 from Novartis.

18 MS. ELLIS: Thank you.

19 DR. ABIKOFF: Within our European

20 labels we do.

21 DR. GOSS: Can you --

22 DR. ABIKOFF: I can't speak to the

23 specifics.

24 DR. GOSS: Can you suggest why it's

25 not in the U.S. label, versus an EU label?

1 DR. ABIKOFF: I don't have access to
2 that specific information.

3 DR. GOSS: I have another question.
4 In terms of the CAR T trials. What percent of
5 the patients, where both sponsors mentioned
6 that in the pivotal trials PROs were used, what
7 percent of the patients failed to complete
8 scheduled assessments at scheduled time points
9 when PROs were used, and how did you address
10 that in terms of responder bias?

11 DR. ABIKOFF: I'm going to actually
12 ask Dr. Ferrusi to respond to that question.

13 DR. ROSS: I want to just remind
14 speakers at the mic, because I've been told the
15 same, please speak up so everybody can hear and
16 the mic picks it up. Thanks.

17 DR. FERRUSI: Thank you. Ilia
18 Ferrusi, with Novartis. I don't have the exact
19 percentage and what I can tell you is that in
20 the JULIET study analyses of the PRO data, we
21 focused on patients who did have a complete
22 response or a partial response there because
23 that's where we had data to analyze.

24 DR. GO: Will Go from Kite. In our
25 pivotal ZUMA-1 study it's a single-armed design

1 so we did not do any prospective PROs in
2 cohorts one and two of the pivotal study, which
3 was the data that was used for the labeling of
4 the USPI. We then incorporated PROs as
5 exploratory endpoints in additional cohorts of
6 ZUMA-1, such as in cohort three. This has not
7 been reported out yet, so we don't have that
8 data on hand, but this is obviously one of the
9 challenges that we, as other speakers have
10 said, in terms of collecting missing data.

11 As I said on the podium, ZUMA-7, our
12 randomized controlled phase three global
13 trial we are collecting PROs prospectively
14 and it is a secondary endpoint.

15 DR. ROSS: Thank you.

16 DR. CUYJET: Aloysius Cuyjet. This
17 question is for Dr. Basch, am I pronouncing
18 that correctly? First I'd like to thank you
19 for a very cogent presentation of the different
20 PRO tools. Anytime I see seven of anything, I
21 know one of them is not an ideal tool to
22 provide the information. So what I'd like to
23 ask you, what suggestions might you have in
24 terms of improving the patient-reported
25 outcomes process, since we have seven different

1 instruments to look at? What would you to do
2 to come up with one or two ideal instruments?

3 DR. BASCH: All right. Ethan Basch
4 for the University of North Carolina, so do you
5 mean in this particular population or in
6 general?

7 DR. CUYJET: Well, I haven't seen
8 any -- it's a whole area for discussion, so I'm
9 assuming, and I'm taking to -- I'm going back
10 to my experience at Rutgers Medical School
11 where we had robust end of life care, so
12 patients would make decisions based on how much
13 pain they were having, how much sleep they got,
14 who in their family they spoke to, so I'm sure
15 there's diversity in genders, there's diversity
16 driven by cultural backgrounds, ethnicity,
17 socioeconomic status, education status, there's
18 a whole list of variables that we consider in
19 how patients report outcomes, and I'm clearly
20 not an expert in that field. So if you had to
21 come up with an instant, what additional
22 questions or parameters would you want to look
23 at?

24 DR. BASCH: Yeah, I think it's a
25 nuanced question, I'll do my best, and

165

1 Dr. Kluetz from the FDA may also have some
2 insights on this.

3 So in terms of putting together a tool
4 that would give us insights about how people
5 feel with this therapy, you know, I go back to
6 something that I mentioned and Dr. Kluetz did
7 as well, that physical functioning is very
8 important. Now physical -- you know, a lot of
9 people talked about oh, we don't know what
10 tools we can use yet, we have to go back and,
11 you know, start at first principles. That's
12 not the case for physical function, physical
13 function is physical function, right? I mean,
14 I see patients getting all kinds of therapy
15 with all different diseases, and physical
16 function is pretty uniform, there are excellent
17 tools which are already available, some of
18 which are on your list.

19 You know, the EORTC QLQ-C30 has very
20 good physical function, PROMIS has very good
21 physical functioning. I think those are ready

22 now and in an assessment I would absolutely
23 include them, number one.
24 Number two, I would measure, I would
25 let patients self-report their own side

166

1 effects. We know that this is, you know, it's
2 not that it's underreported, it's just that we
3 miss a lot of stuff and we misattribute.
4 Patients know better than we do as
5 investigators, so I'd absolutely include
6 symptomatic adverse events. And to figure out
7 what adverse events are important in a given
8 trial, that's really dependent on the products
9 that are being tested and what's known about
10 those products, and hypothesizing over time as
11 we accumulate experience, you know, we start to
12 know, okay, which ones should we ask, and those
13 gets loaded into a form. So now you've got a
14 form that's got physical function and a bunch
15 of side effects, right?

16 And then the third, I think, which is
17 more challenging, and Paul Kluetz can comment
18 on this, is disease-related symptoms. I think
19 that's a little more challenging in this

20 context but that could be considered, I'm going
21 to put that aside for a moment.
22 And then the final piece is overall
23 quality of life, and that includes some of the
24 domains we talked about, you know, emotional or
25 social functioning, and we already know that

167

1 and that stuff is generic too, that crosscuts
2 diseases. And so I think you could put
3 together a tool, you and I could do it on the
4 back of a piece of paper like after the
5 meeting, we could just, you know, put down
6 those domains and those actually would probably
7 be pretty reasonable as a start from where we
8 are today, okay?

9 Now that said, I think it would be
10 useful to take a step back and go to the
11 population and really talk to people to see
12 what symptoms and things are really an issue to
13 them, and then we could go to Version 2.0. But
14 you know, I think we are ready now to measure
15 things that are meaningful to people and most
16 likely will detect signal.

17 DR. ROSS: Dr. Shah? Oh, I'm sorry.

18 DR. SIDANA: Surbhi Sidana from Mayo
19 Clinic. Just as a comment to that, you know,
20 we are also using PRO-CTCAE, but the
21 challenges, there are 78 questions, and I had
22 to, based on my clinical judgment, pick which
23 20 of them. Now my colleagues who are using
24 PRO-CTCAE may pick another 20. And right now
25 my patients are filling out a questionnaire

168

1 which is taking them 45 minutes for 20
2 questions.
3 Are they all of the right questions?
4 I think that is where the prelim data comes in,
5 like which questions exactly, and we'll know
6 which questions are changing over time, talk to
7 patients who had CAR T, okay, what was
8 important to you, what symptoms did they have,
9 what is important, so I think we need that
10 data.

11 And I think one thing which none of us
12 talked about is a lot of these people get
13 neurotoxicity, like about up to a third can get
14 that, we are testing questions for cognitive
15 function, did they recover cognitive function?

16 If they had neurotoxicity, did they still have
17 cognitive impairment at six months, 12 months,
18 I think that's important to address because it
19 may be subtle and we need to pick it up. Thank
20 you.

21 DR. CUYJET: Let me ask one other
22 question before you go. I haven't heard
23 anything -- you mentioned that people have to
24 come to certain centers because not everybody's
25 providing CAR T therapy. So if you're talking

169

1 a Medicare population on a fixed income, what
2 about ancillary considerations? How do people
3 factor in financial burdens, ancillary costs in
4 terms of their decision and how they're making
5 decisions to commit to a new therapy where the
6 outcomes may or may not be desirable? There
7 are considerable side effects to take into
8 consideration, and there's some economic
9 considerations that may impact the family
10 members or the members themselves. Is that
11 part of the assessment?

12 DR. SIDANA: That's not part of our
13 assessment for this study. We are doing

14 another study where we are looking at people
15 enrolling in trials or not, and a lot of people
16 don't enroll in trials because coming back and
17 forth to a center is more money, it takes time,
18 somebody has to take time off from work. But I
19 think it's an important question to ask. We
20 are not collecting that information right now
21 but it is important, especially if you're going
22 to mandate someone collect questionnaires or
23 come back for follow-up to a referral center,
24 but who is paying for that, you know, who's
25 paying for the caregiver to take time off. I

170

1 think those are challenges and I think they
2 need to be addressed.

3 DR. ROSS: Dr. Kluetz, were you going
4 to stand up?

5 DR. KLUETZ: Hi, this is Paul Kluetz
6 from the FDA, and I just wanted to address a
7 couple things. The first was a little bit
8 about labels, you know, FDA labels versus
9 European labels and what's the threshold for
10 data regarding those two different ways of
11 communicating. Europe definitely has a

12 different threshold for what to put in their
13 labels and how to put it in, they have
14 different regulations, et cetera.
15 For our labels, especially if you're
16 making a claim of treatment benefit saying our
17 drug reduces pain, our drug improves
18 health-related quality of life, it needs to be
19 statistically tested, substantial evidence, and
20 that's not frequently done, they're typically
21 not incorporated in the statistical hierarchy
22 and tested in that fashion. But we have many
23 examples of using descriptive PRO data in
24 labels to further describe a therapy, and so I
25 was just jotting down some of the more recent

171

1 examples.

2 For safety, which I think kind of is
3 interesting in this context, crizotinib, which
4 is a really important lung cancer therapy, was
5 known to cause ocular toxicities through normal
6 clinician report, and ocular toxicity is
7 somewhat unusual, so they wanted to get a
8 little more information about how that was
9 actually affecting patients so they did

10 incorporate a patient-reported outcome specific
11 to that and in the label it notes that yes,
12 there was a lot of ocular toxicity, but
13 patients did not feel that they were bothered
14 by it, and there were several other facets of
15 it that were from the patient that really gave,
16 I think, a lot more information about that
17 toxicity.

18 There's several efficacy examples and
19 one where we added, did actually have a lot of
20 flexibility in what we would normally accept,
21 would be the Hemlibra label as far as
22 improvements in function and joint pain, and
23 that was, it was statistically tested but the
24 instrument had some flaws, so we do put this
25 data in labels.

172

1 I would say on the other question,
2 which is what should we do if we could tailor
3 something right now, I agree with Dr. Basch, I
4 think physical function is a very, as I
5 mentioned, disease-agnostic type of measure
6 that's going to be pretty applicable. There is
7 some finesse in there because you do want to

8 make sure you have, you're where you need to be
9 in your scale because if your baseline function
10 is very high, like in the female adjuvant
11 breast cancer trial where you have young women
12 that are actually functioning very well, you
13 might want to add a couple higher functioning
14 items on there to capture that level. So
15 there's some finessing, but I think physical
16 function is important.

17 I do think wearable devices in
18 addition to PRO in that physical function
19 domain is going to probably be something that's
20 going to be very valuable in the future as
21 well.

22 Then finally for the value of
23 symptomatic adverse event reporting by
24 patients, one of the things that we're looking
25 at that I think is going to help, especially in

173

1 single-armed clinical trials, is we have a very
2 hard time understanding what's actually disease
3 and what's actually treatment-related side
4 effects. And the way FDA does it currently is
5 we don't look at the attribution that the

6 physician gives to the AE, we just assume it's
7 due to the drug because we don't really know
8 how else to do it. So you'll see in phase one
9 trials and early accelerated approvals like 80
10 percent fatigue, very high levels of fatigue
11 which, you know, is probably, some was there at
12 baseline. What you will do with these PROs is
13 that you will get a baseline measure, and then
14 it will be systematically assessed, and so you
15 can take baseline into consideration. We're
16 looking at ways to say we're not going to call
17 it a drug-related adverse event unless it goes
18 above what it was at baseline, and I think Amy
19 Ludek from Mayo has done some work in that, so
20 we're exploring that, we think that could be
21 valuable to sort of cut through some of the fog
22 that we see in these single-arm trials where
23 you really want to talk to your patient about
24 what they might experience. You know, it looks
25 relatively significant if there's high levels

174

1 of symptomatic side effects that may or may not
2 be attributed to the drug.

3 MR. FRANKEL: Can I just follow-up on

4 that point? One of the things you mentioned on
5 a slide, you categorized besides the
6 patient-reported outcomes, you had, I think you
7 called it observational reported outcomes, and
8 you noted that that may be both from the
9 caregiver, for example?

10 DR. KLUETZ: Yeah.

11 MR. FRANKEL: Do you really view that
12 as being two separate measurements? Because I
13 imagine, certainly with a pediatric population,
14 and we're discussing an elderly population that
15 is very ill and is undergoing this therapy.
16 They're typically going to be accompanied by a
17 caregiver, loved one, their spouse perhaps, who
18 will be able to provide insight for a PRO that
19 they may not be able to do on their own, so it
20 would seem to be inherently part of a
21 patient-reported outcome rather than a separate
22 category. Am I correct with that?

23 DR. KLUETZ: Yes. It's a subtle
24 point. I think what you might be referring to
25 is what we call proxy reporting, where it's

1 someone other than the patient filling in the

2 same questionnaire that the patient was
3 supposed to fill in. We don't actually, FDA is
4 not a fan of that, our outcomes assessment
5 staff doesn't like that. Rather, for infants
6 or those who are faced with a brain tumor or
7 major dementia that is unlikely that they're
8 going to be able to fill out the form
9 themselves, they would look for observable
10 signs that the care provider can record. And
11 that's a little different because you don't get
12 that non-observable nausea type of pain thing
13 that you can actually observe. So in those
14 cases you get diarrhea, you get activity levels
15 for kids, and so that's kind of the way we look
16 at it, observational-reported outcomes need to
17 be observable signs.

18 MR. FRANKEL: And how do you tease out
19 things like financial toxicity as it's phrased,
20 or general anxiety because they're grappling
21 with a serious illness, versus that being
22 specific to the therapy involved?

23 DR. KLUETZ: Yeah. I tried to make it
24 clear that there's no perfect way to tease that
25 out completely. Symptoms are probably the

1 closest to the drug effect, as I said, and even
2 within symptoms, teasing out whether it's a
3 drug-related symptom or a disease symptom, or
4 even a symptom of a comorbidity is unclear.
5 Now that one thing that we tend to do is to
6 hold PRO to a higher standard than we do any
7 other clinical trial measure. We know that
8 CTCAE also suffers from the same challenge, so
9 yes, I think teasing that out is a challenge.

10 MR. FRANKEL: How much do you think
11 that biases the actual measurement?

12 DR. KLUETZ: Which part of the bias?

13 MR. FRANKEL: Well, in the sense that
14 there can be an increased, let's say whatever
15 they're specifically measuring, let's say
16 anxiety, and you can say whether it's related
17 to the drug. Do you use a baseline comparative
18 to other patient populations to be able to say
19 well, this is something that we see
20 consistently with other therapies in patients
21 who are undergoing therapies for serious
22 illness, and we can actually deduct that from
23 our overall evaluation, this is actually set
24 aside from that benchmark?

25 DR. KLUETZ: It's one of the reasons

1 why we don't typically label things like
2 anxiety in a cancer trial. It may be obviously
3 where, you know, anxiety is the actual disease,
4 but there's so many non-drug influences to
5 anxiety, sleep, for instance, because there are
6 so many nondrug influences. Financial toxicity
7 we don't look at at all, because drugs aren't
8 even being paid for in the clinical trial. So
9 some of those concepts that you're referring to
10 are used a lot in NIH trials or in
11 postmarketing trials to understand the patient
12 experience once the drugs are marketed, but for
13 our premarket, those we look at a little bit
14 less, and focus more on the disease
15 treatment-related symptoms.

16 DR. ROSS: Dr. Gottschalk?

17 DR. GOTTSCHALK: I have one question.
18 Right now we're looking in the CD-19 space,
19 we're probably going to measure a lot of
20 outcomes which are confounded by the treatments
21 where the patients have already been treated,
22 so what is the value of getting PROs in the
23 setting right now when we will hopefully move
24 these therapies more in the outcome setting,

25 more than an autologous transplant or lymphoma,

178

1 or instead of an allotransplant for children.

2 And so I was wondering, you know, Dr. Basch or

3 Dr. Kluetz, how do you adjust for that?

4 DR. BASCH: Well, I would just say in

5 response to your question, and also your prior

6 question, that --

7 MS. JENSEN: Can you identify

8 yourself?

9 DR. BASCH: I'm sorry, Ethan Basch,

10 sorry. You know, many of these PRO tools have

11 been evaluated in populations with advanced

12 disease who are highly symptomatic, heavily

13 pretreated, with multiple comorbidities, and

14 have been able to delineate very clearly

15 between arms when there's, you know, when

16 there's no real effect there. And so there are

17 many examples of, despite the challenges that

18 you allude to, where these tools perform

19 extremely well, and that's because some

20 therapies really improve the way people feel

21 and some therapies really worsen the way that

22 people feel and you know, many therapies do a

23 little bit of both in different ways, and these
24 tools are able to detect that. So I would
25 argue that in an advanced population or in a

179

1 heavily pretreated population, it's perfectly
2 appropriate to use these tools. In fact, those
3 are the settings in which these tools are most
4 commonly used.

5 Now that said, I think yes, you might
6 get a crisper signal in an adjuvant setting or
7 in a healthy population as you move therapies,
8 you know, more up front, but I don't think that
9 that's a reason not to use it later on. In
10 addition, you know, you can collect a lot of
11 information that's hypothesis generating for
12 earlier.

13 DR. GOTTSCHALK: I think that was not
14 my question. The question was, you know, side
15 effect profile will be probably different. You
16 know, for example, giving therapy in a patient
17 who has a history, there is probably more
18 expansion, more neurotoxicity, et cetera. So
19 then if you have a very validated PRO set of
20 data but you haven't measured every

21 pretreatment therapy with CAR T, and so then
22 the question is how does this data look like
23 when the patients are not so heavily
24 pretreated?
25 DR. BASCH: Do you want to take that?

180

1 All right.
2 DR. GO: Will Go from Kite. So, I
3 totally agree with you. I mean, this is where
4 the, I think a challenge that we're all facing
5 across industry as well as our academic
6 partners and patient standpoint, you're exactly
7 right. Let's just take CD-19 as an example,
8 right? In our trial, in the pivotal trial and
9 effectively third, fourth, fifth-line patients,
10 two-thirds of them already had B-cell aplasia
11 because they had so much prior rituximab. And
12 as we are, you know, continuing to look at the
13 B-cell aplasia, which is one of the long-term
14 questionable side effects, about what that
15 means for patients, how is that going to go
16 over time? You're exactly right.
17 As we get to earlier lines of therapy,
18 potentially we might see fitter T-cells, fitter

19 patients, and that's why, again, I defer to
20 ZUMA-7, because why? That's a second line
21 therapy with a randomized controlled trial
22 where we are going to be looking at that with
23 some classic PRO measurements.

24 DR. ROSS: Can I -- I wanted to ask a
25 question, and I think Dr. Shah is one of the

181

1 people who actually raised their hands. So,
2 we've heard a bit about how, you know, this
3 therapy is so effective, kind of like why do we
4 need PROs. We also heard among the comments
5 from the panel that the PRO should only be used
6 as part of randomized controlled trials. I was
7 hoping that some of the clinicians who've used
8 PROs in practice, not research, could talk to
9 some of the, not just the challenges which we
10 heard more about, but the successes of how
11 they've been used and how they've informed
12 clinical decision-making.

13 DR. SHAH: Gunjan Shah from Memorial
14 Sloan Kettering. So, I think that while I can
15 fully understand your questions of sort of
16 timing and duration of looking at these PROs,

17 that specifically to what we can do with them
18 even now is, we expect even if we continue to
19 use them in these later line settings with
20 several lines of therapy, that there will be
21 several iterations of these CAR T-cells, and we
22 expect that future ones will be better than the
23 ones now.

24 And one of the things that we've been
25 doing with the autologous transplant as part of

182

1 looking at all of this is, essentially you have
2 a therapy that's safe enough that what you're
3 really researching is how to decrease the
4 symptom burden and how are you actually making
5 a difference, that these are your primary
6 outcomes, you know, it's safe to give, it's
7 effective, we know that this works, but how do
8 you make it better for the patients, how do you
9 make them not need to be in the hospital or not
10 be in the ICU, that kind of thing.

11 So some of these measures are really
12 for that, and so I think that partly to answer
13 your question, having these at the baseline of
14 sort of the first generations of these drugs

15 being used commercially and, you know, on
16 trials, it's helpful to then sort of inform the
17 studies of the future.

18 In the autologous transplant setting,
19 you know, one of the studies and one of the
20 only studies that's really shown to make a
21 difference has been an acupuncture study that
22 we did with our integrated medicine colleagues
23 at MSK, and were able to show a difference in
24 their patient-reported outcomes as a primary,
25 of decreasing fatigue and changing their

183

1 symptom burden, and so I think that having this
2 information is valuable over time.

3 DR. KLUETZ: May I?

4 DR. ROSS: Yes.

5 DR. KLUETZ: Paul Kluetz. Just one
6 comment about late stage versus early stage.
7 You know, most of our single-armed trials are
8 multiply refractory, our dose finding trials
9 particularly, and there's actually been some
10 interest in using sort of side effect bother
11 and side effect PRO to help better find dose,
12 so that's one possible, actually a pretty good

13 utility for that.

14 And I'd also argue that it's still

15 important to measure safety and it's very

16 important to measure safety in that setting.

17 For instance, we know that in second and third

18 line multiply chemo-treated patients, we're

19 going to see a lot more neutropenia with

20 another cytotoxic agent. And so I think we'll

21 see, it's important to understand that toxicity

22 profile and I think, I look at it as

23 complementary to how we're looking at safety as

24 well.

25 With things like health-related

184

1 quality of life and physical function, I may

2 have to agree with you that maybe that's not

3 the right spot for those more broad net benefit

4 kinds of questions, but for safety, I think

5 it's actually a pretty important use.

6 DR. BASCH: Ethan Basch. I'll just

7 comment briefly on the real world use of PROs.

8 So, our group and others have done many

9 registries. We currently have a large national

10 U.S. trial, or study I should say, real world

11 study supported by PCORI, in which patients
12 receiving systemic cancer treatment for
13 advanced disease at 50 community practices
14 around the U.S. are self-reporting their own
15 patient-reported outcomes on a weekly basis
16 throughout their entire treatment trajectory.
17 The compliance rate is 96 percent, meaning that
18 if you look at the average proportion of
19 patients who self-report every, at any given
20 week, it's 96 percent. 80 percent of those are
21 self-reporting on their own, and the additional
22 15 or 16 percent, they actually get recovered
23 by somebody calling them if they don't
24 self-report, so it's augmented by having a
25 central person in addition to collect the

185

1 information.

2 I would also mention, there's been
3 some questions about informative missingness
4 when patients are hospitalized or have severe
5 toxicities, and in those settings we do use
6 proxy reporting, so we will use a caregiver or
7 clinician who will provide the information and
8 that's generally used in sensitivity analyses,

9 so that we understand the reason for the
10 missingness, but again the missingness is
11 extremely low, and these are patients with
12 advanced disease, often close to death.

13 DR. ROSS: Dr. Perissinotto, and then
14 Dr. Goss.

15 DR. PERISSINOTTO: So, one, I
16 appreciate Dr. Sidana for mentioning the
17 potential cognitive side effects that happen to
18 be particularly important to our Medicare
19 beneficiaries. So my question is for Dr. Go
20 and any of the panel members in terms of the
21 trials with the reported neurotoxicities if we
22 know the extent of the variability of the
23 toxicities, if there is any cognitive
24 assessments that were done at baseline or the
25 follow-up, and what the long-term sequelae are.

186

1 DR. GO: Will Go from Kite. I'll
2 comment first and then I'm going to ask our FDA
3 colleague to comment as well. I think it's
4 very challenging in terms of neurocognitive
5 behavioral testing. What we did in ZUMA-1, the
6 pivotal trial, we incorporated a mini-mental

7 status exam, which is not obviously a great
8 office tool. We chose that because in previous
9 FDA-approved products like blinatumomab from
10 Amgen, they also used it as well, so that is
11 what I would say is a very blunt tool to look
12 at that. Obviously, we are exploring
13 possibilities of other more complex
14 neurocognitive testing, but this, I agree with
15 everyone here that as CAR T's go to other
16 disease states, different lines of therapies,
17 that this will be something that I think we
18 would want to as a community to continue to
19 support, and we at Kite Gilead will definitely
20 keep supporting it.

21 DR. KLUETZ: Paul Kluetz with the FDA,
22 and I think it's an excellent question because
23 I think it's, I like these targeted questions
24 that are getting at things that we know that
25 are happening, can we further describe and

187

1 characterize the effect. Cognitive testing
2 using a, is a clinical outcome.
3 Patient-reported outcomes are obviously
4 challenged. If you're cognitively impaired,

5 filling things out can be challenging, although
6 there are some cognitive scales.

7 There is interest in, again, looking
8 at technology, so are there different types of
9 gaming types of situations where you have
10 certain kinds of, almost a performance outcome
11 where you're filling in certain things on an
12 iPad, and there are some interesting things
13 that are coming out with that, but they're, we
14 haven't seen that arrive at the Agency.

15 DR. PERISSINOTTO: Thank you.

16 (Pause.)

17 DR. ROSS: Dr. Goss, and then

18 Dr. Lamon.

19 DR. GOSS: I had a couple of
20 questions. Dr. Basch, I appreciated your
21 presentation because it was really very
22 helpful. There were a couple of other -- there
23 was a question that I just wanted to clarify.
24 The way our question is asked, it's not asked
25 specifically about CAR T at this point, it's

1 just PRO, and in one of your conclusions you
2 made comment about the utility for CAR T, and I

3 just wanted to make sure that I'm understanding
4 the question correctly, number one, and number
5 two, to know if that would change how you're
6 thinking about the issue of PROs if it were
7 specific to CAR T.

8 And I also had a question about, kind
9 of pragmatic, so our question two has to do
10 with, you know, transferable to community
11 practice and, you know, quick throughput to a
12 trial setting, and I was trying to go through
13 the data that I had available. With the
14 exception of the presentation on the FACT,
15 which wasn't one of the measures we're looking
16 at, in none of them did anyone report what was
17 a minimally important clinical difference. And
18 so I would be interested in our general
19 assessment of the experts out there about in
20 which of these measures do we have kind of a
21 defined clinically important difference that we
22 could use as a benchmark.

23 And also, there was some lack of
24 information about the cost of licensing, for
25 example. So, EORTC I think has a licensing

1 arrangement, you know, and as mentioned, it's a
2 strongly validated measure, I would agree, but
3 I'm just curious if anybody has any details on
4 those types of practical implementation
5 limitations, because I think that may be
6 relevant to how we think about this.

7 DR. BASCH: We did --

8 DR. ROSS: Dr. Basch, please --

9 DR. BASCH: I'm sorry, my apologies.
10 Ethan Basch from University of North Carolina.
11 Yeah, so we did report on which tools were used
12 in CAR T trials really just as a matter of
13 information, but the basis for particular use
14 in community practice or how widely we use the
15 tools for generalizability came from use in the
16 Medicare-aged population, and I did show that
17 as a separate item for each individual tool,
18 and that was the basis of that, not the use in
19 CAR T.

20 DR. GOSS: Okay. Any thoughts on the
21 minimally important clinical differences, and
22 whether or not there are any of them that have
23 really well-established guidelines or some that
24 you feel that may be missing as well?

25 DR. BASCH: Well, I and some others

1 can comment on this as well. So, you know, in
2 FDA lingo, this has been sort of changed to
3 view a score that represents a meaningful
4 change, so for all of the tools that we gave a
5 smiley face to, there have been evaluations of
6 what is a clinically meaningful score change,
7 with the caveat that the PRO-CTCAE is, you
8 know, about adverse event reporting that's
9 generally descriptive rather than, you know,
10 comparison of proportions, hitting a certain
11 score threshold.

12 DR. ROSS: All right, so I know there
13 are a number of questions here. Dr. Lamon was
14 next, and let's just try to keep the questions
15 as short as we can so we have enough time.

16 DR. LAMON: I have a question for
17 Dr. Snyder and anyone else who wants to answer.
18 I really liked the graphic presentations you
19 did on the issues of getting clinician
20 engagement, but I'm thinking about all the
21 technological issues, and my impression is that
22 the ability to do the PRO measurements is
23 technology and that we have more information
24 systems. How are you getting the information
25 on those graphs, are they in real time, and

1 what's the interface with the electronic record
2 that you're using at Hopkins, or any other
3 records if anyone else wants to comment? I
4 think that's limiting clinician involvement and
5 putting a wedge between collecting data and
6 using it, and do we have it in real time to use
7 it in real time?

8 DR. SNYDER: Claire Snyder from Johns
9 Hopkins, thank you for the question. For the
10 purposes of our research we made up the data so
11 it was really easy to get.

12 (Laughter.)

13 However, the rationale behind the
14 research was work that our group had done at
15 Johns Hopkins and my colleague Michael Brundage
16 had done in terms of clinical trial data where
17 we wanted to show the data to patients and
18 clinicians and we didn't know the best way to
19 convey all the information we wanted to, how is
20 the patient doing over time, what's an
21 important difference, what is statistically
22 significant, what does the doctor need to pay
23 attention to? They're not going to learn all

24 about these questionnaires, we need to make
25 them immediately interpretable and intuitive.

192

1 So, the reason that we had to do the
2 research that we did is that there is a huge
3 increase in the collection and use of these
4 data in clinical practice, so our team at
5 Hopkins started doing this in 2005. I would
6 say we were some of the pioneers in the U.S., I
7 feel like we are now almost obsolete, but the
8 work done by Ethan Basch and others has moved
9 this so far forward where he is, for example,
10 doing this study in 50 community practices.

11 A colleague of ours, Roxanne Jensen,
12 who's now at the National Cancer Institute, did
13 a review of e-PRO systems in 2014 and even then
14 in cancer care alone, there were 33 unique
15 systems meant for clinical practice. The big
16 challenge now is getting the data in the
17 electronic health record. With funding from
18 PCORI, a group of us, including some folks
19 here, developed a users guide for how to
20 integrate patient-reported outcomes into
21 electronic health records. It is freely

22 available on the PCORI website and it walks
23 step by step through all the considerations
24 involved. It does not provide one right answer
25 but a range of options and their relative

193

1 advantages and disadvantages. So I think
2 increasingly, there are tools that are going to
3 get us there. Thank you for the question.

4 DR. ROSS: Dr. Shah, do you have a
5 quick response?

6 DR. SHAH: Yes, just very quickly,
7 Gunjan Shah from Memorial Sloan Kettering. So,
8 I briefly was able to show you some of the
9 figures from our MSK Engage platform that's
10 being created and sort of in use on the surgery
11 side and being transferred into a more
12 long-term use for the transplant and cell
13 therapy side. And you know what, the way it's
14 working right now and what we're hoping to
15 continue is that you can actually pull it up in
16 the office, that you can pull up an individual
17 patient and show that patient, here's what
18 you've reported over time, and with one click
19 you can actually decide to include that in

20 their electronic record, and so that it can be,
21 you know, part of their record over time, but
22 also pulled up in sort of a dynamic fashion to
23 intervene on if you so choose to, but also see,
24 you know, which things are higher at which
25 visit, which ones are worse today, which are

194

1 better today, and look over time.

2 We on the clinician side can then also
3 say here's your entire panel of patients with
4 the same disease, or answered the same survey,
5 and then have more aggregate data also built in
6 to be able to look at.

7 And so I think it's kind of important
8 to be both ways, sort of aggregated across the
9 population, but also to include the patient in
10 showing them what they reported along the way
11 also.

12 DR. ROSS: Thank you. Dr. James,
13 you've had your hand up the longest.

14 DR. JAMES: All my questions have been
15 answered by the last two.

16 DR. ROSS: Great. Dr. Feinglass?

17 DR. FEINGLASS: For our FDA colleague,

18 Dr. Kluetz, how often does the result from a
19 PRO assessment tool become a deciding factor
20 for a binding FDA decision?

21 DR. KLUETZ: Thank you for that
22 softball, this is Paul Kluetz.

23 DR. FEINGLASS: You're welcome.

24 DR. KLUETZ: Paul Kluetz from the FDA.

25 So, I think it's a really important question,

195

1 it's something I talked about over lunch and
2 that is, are we using patient-reported outcomes
3 to further characterize how a therapy affects
4 the patient in the totality of data, and then
5 we organize that in a qualitative or a
6 quantitative risk-benefit determination, which
7 is what we do at FDA, mostly qualitative right
8 now, yes, we do that all the time.

9 We wrote a recent New England Journal
10 of Medicine article on the use of
11 metastasis-free survival, which is a new
12 endpoint for nonmetastatic castration resistant
13 prostate cancer so it was a novel endpoint, and
14 in this particular case patients normally don't
15 get a therapy and they're usually asymptomatic,

16 and so it was like sort of a maintenance
17 therapy question so we were really quite
18 concerned about the tolerability, this was an
19 important part of our decision, because we knew
20 that the benefit was there, that it was pushing
21 back metastatic disease, but how tolerable was
22 it? And so in that case we did use, looked
23 very carefully at this overall side effect
24 bother question and different side effects, and
25 made sure there was no significant signal there

196

1 in addition to the normal CTCAE data, and so
2 that weighed in.
3 I think the bigger question is, have
4 we ever used it for a negative nonbinding
5 decision, and I think that's obviously what
6 everyone is really concerned about, and that's
7 not to my knowledge. We've used it for
8 positive, important positive decisions. For
9 instance, Jakafi, as I said, it was a key
10 secondary endpoint that moved the regulatory
11 decision from an accelerated approval because
12 it was a surrogate endpoint as a primary
13 endpoint, to a regular approval because the

14 secondary endpoint was a symptom improvement, a
15 clinical benefit that was meaningful to
16 patients.

17 DR. ROSS: Dr. Civic, I think you were
18 next.

19 DR. CIVIC: Yeah. One of the
20 questions we're asked is how long to measure,
21 sorry, a PRO, to be able to identify a valid
22 treatment effect and, you know, we're looking
23 at late toxicity but also, I think it was
24 Dr. Abikoff talked about late benefits, that
25 there wasn't a response until, in some patients

197

1 until nine to 12 months, which makes it seem
2 like we should be measuring PROs for at least
3 12 months. Does anyone want to comment?

4 DR. GO: Will Go from Kite. So yeah,
5 similar to other trials and in our pivotal
6 trials, number one, we've actually seen that
7 with a single dose of CAR T, as well as at the
8 NCI, and we'll hear Dr. Yang comment as well,
9 that we've seen conversions from stable disease
10 to PR to complete remission as late as over 12
11 months, and this is why -- and without any

12 other intervening therapy. And so this is why,
13 and again, I am not a PRO expert, I'm a
14 hematology oncologist, but if I were to design
15 the PROs, again, that's where the challenge
16 lies, because you're going to start seeing
17 potentially late converters as far as 12 to 15
18 months.

19 DR. ABIKOFF: Cori Abikoff from
20 Novartis. I agree, it was my point that we do
21 see these patients progress over time and that
22 is one of the things that differentiates CAR T
23 therapy from other therapies, and I also am not
24 an expert in PROs, but I think that this along
25 with the questions that have been raised about

198

1 things like neurologic toxicity, these are
2 still fairly young technologies and they've
3 been studied for a fairly short period of time,
4 so understanding what those late effects are
5 and how that impacts PRO measurement as well as
6 understanding the immediate effects and how
7 that affects PRO regimen, are still things that
8 we're trying to understand, and why we are
9 actively utilizing them in our current and

10 future clinical trials, because they will help
11 us to answer those questions.

12 DR. BAR: Merav Bar from the Fred
13 Hutch. Regarding the long-term follow-up for
14 PROs, I think there is two sides of it. One is
15 the one that patients might respond later but
16 on the other hand, there is still relapsed
17 disease or progression of disease after and a
18 lot of patients that we are looking at receive
19 subsequent therapies that may also affect how
20 they feel, their quality of life, and symptoms.
21 So there are two groups of patients that, one
22 may respond later, but on the other hand there
23 still are patients who will have progressive
24 disease and relapse after, either because of
25 interim therapy, they have symptoms of disease

199

1 progression or because of subsequent therapies,
2 so these two things need to be taken into
3 consideration as well.

4 DR. ROSS: Okay. Dr. Garrido, I think
5 you had your hand up next.

6 DR. GARRIDO: So, from Dr. Snyder's
7 presentation, we saw that individuals,

8 including clinicians and researchers with quite
9 substantial education aren't so great at
10 reading graphs and interpreting changes in
11 PROs. So I'm wondering, either in your own
12 personal experience in working with patients
13 with limited literacy or education, are people
14 able to understand just the questions
15 themselves, not even the changes, or have these
16 been evaluated in people of limited literacy or
17 education?

18 DR. SIDANA: Surbhi Sidana, Mayo
19 Clinic. While I don't have the exact answer
20 you are asking, you know, I had a patient who
21 was filling out a similar questionnaire in our
22 study. He did not have neurotoxicity but his
23 heart rate was fast, but he had not slept
24 because of all the alarms going off in the ICU,
25 and that patient had to read a question three

200

1 times on that questionnaire to understand. Now
2 I don't know what to do with that answer, do I
3 even trust the answers the patient gave? So
4 yes, I mean, those are challenges, not only of
5 patients understanding questions, but even

6 well-educated patients who are having side
7 effects of treatment, you know, being able to
8 answer them in the state that they're in.

9 The one more point I would like to
10 make from before is, I think it's important to
11 study late effects because as you know, for
12 allogeneic transplant, we found out, you know,
13 there are late effects like chronic graft
14 versus host disease that impact quality of
15 life. Now we don't know any about CAR T yet,
16 but who knows what's going to happen when these
17 people are like three years out, four years
18 out? So I think it's important to study them,
19 we just don't know what they are right now.

20 DR. PERISSINOTTO: Can I just add to
21 the question about low literacy also? Because
22 I think you'll be able to answer this if some
23 of the PRO measures have looked at multilingual
24 and multiethnic populations.

25 DR. BASCH: Yeah, absolutely, so --

201

1 thank you, Dr. Snyder. I'm Dr. Basch, Ethan
2 Basch, and yeah, I need to get like a sticker
3 on me or something to me as a reminder, which

4 speaks well to your question, right, I need to
5 be prompted.

6 So, a couple things. First, you know,
7 in looking at Claire's evidence, which I think
8 is, you know, terrific studies about
9 interpretation of the graphic, you know, we
10 haven't applied that level of scrutiny to
11 clinicians, for example, in interpreting
12 waterfall plots or Kaplan-Meier curves, or all
13 the different graphics that we are expected to
14 interpret in journal articles or in drug
15 labels, right? So I mean, people have trouble
16 digesting data. You know, I told Claire that
17 personally I like the USA Today, I like a
18 simple graphic, like I can get that, so I think
19 there's something to simplicity in
20 understanding graphical displays. But I think
21 that, you know, as Paul alluded to, we
22 sometimes apply a greater level of scrutiny to
23 these patient measures than we do to the
24 metrics that we all take for granted every day,
25 and I just want to caution us not to be, not to

202

1 apply a higher level of scrutiny.

2 Regarding your question, so there have
3 been many many PRO studies done in patients
4 with low education levels, low health literacy
5 levels. In a study that my group conducted
6 that was reported last year at ASCO and in
7 JAMA, we had a very large arm of patients who
8 had never used a computer before and they were
9 using a computer and they, that population had
10 low literacy and almost universally had less
11 than high school education, and they were
12 universally almost able to self-report, and
13 actually that group saw greater benefits from
14 reporting PROs and having information conveyed
15 to the clinicians for management of
16 symptomatology.

17 So I mean, as far as language, there
18 have also been many studies done in groups
19 speaking other languages. I'd say all of the
20 tools with the smiley faces have been
21 linguistically adapted into other languages
22 using a pretty, I'd say a pretty rigorous
23 translation process that often involves both
24 cognitive interviews of people and if done
25 well, includes people with different levels of

1 literacy and education as well, so I think for
2 the good tools, it's generally pretty good.
3 MR. FRANKEL: A quick follow-up to
4 that. Do you regularly, I assume this may have
5 come up when you evaluate these tools, to ask
6 the patient how burdensome they find the tool
7 that they're answering? So, is that every
8 single tool you have that question and you have
9 the data from there to be able to say well,
10 this tool, we have a very negative response and
11 this one -- and I assume that would be true
12 for, as the patient progresses through
13 treatment they may have different responses to
14 that as time goes on, and what do you see with
15 those terms?

16 DR. BASCH: So, I'm sorry, maybe you
17 can restate that; what is the thing you're
18 interested in knowing?

19 MR. FRANKEL: The patients' feedback
20 of how burdensome they find the tool that
21 you're actually using to measure their
22 feedback.

23 DR. BASCH: Yeah. So we've done a lot
24 of that, others have, I think Claire has too,
25 so we've done a lot of work with how burdensome

1 people find questionnaires. You know, there
2 are a few people who find these questionnaires
3 to be burdensome, but just like they find going
4 to get their CAT scan burdensome, and their
5 liver biopsy burdensome, you know, not that a
6 PRO instrument is similar to a liver biopsy,
7 but part of the things people do as a part of
8 trials or care is burdensome, but may have
9 value.

10 The vast majority of patients are very
11 enthusiastic. In multiple surveys that we've
12 done, on average, about 94 percent of people
13 say they'd recommend doing this to others,
14 they'd do it again, they find it highly
15 valuable, it improves communication with the
16 care team, they feel that they're an active
17 participant in care, an active participant in
18 the clinical trial enterprise, and people feel
19 engaged, people like doing this. I'd say that
20 in some of the settings where we do studies
21 where we ask people the same questions week
22 after week after week, you know, there are
23 people who push back, like couldn't you come up
24 with a few new questions or like, you know, I

25 already told you I don't have fatigue, why do

205

1 you keep asking me about fatigue? And this is
2 where we're starting to use technologies to try
3 to make things a little more user friendly, but
4 in general people don't find these things
5 burdensome at all, in fact quite the opposite.
6 You know, most people are delighted to be, you
7 know, a part of what we're doing.

8 DR. ROSS: Dr. Flynn.

9 DR. FLYNN: Yes, Kathryn Flynn from
10 Medical College of Wisconsin and CIBMTR chair.
11 Just one additional point. I can't speak for
12 all of the measures, all seven measures, but
13 certainly for the PROMIS measures, one of the
14 stated goals in developing those was to
15 evaluate every single item in people with low
16 literacy, so every item at a minimum had at
17 least two people with less than a ninth-grade
18 reading level evaluate the item through a
19 cognitive interview, I think the PRO-CTCAE also
20 had cognitive interviews specifically targeted
21 to people with low literacy, so for those
22 meticulously developed measures, I think you

23 can have confidence that most people will
24 understand them.
25 With those modular approaches, of

206

1 course, that's where, you know, taking into
2 consideration how many different domains, how
3 many different questions you're choosing, and
4 testing that again to make sure in that
5 particular patient population, you're not
6 asking something that people can't complete.
7 But then another question you had
8 asked earlier about licensing fees, also, both
9 PROMIS and PRO-CTCAE do not have licensing fees
10 associated with them, so that's not a burden.

11 DR. CHUNG: Hi, Karen Chung from Juno
12 Celgene. Just addressing, again, the literacy
13 levels in most of these instruments, the four
14 of the seven that would, you know, move
15 forward, they are built to be at a fifth grade,
16 you know, kind of education level, so
17 hopefully, you know, we're trying to take care
18 of the literacy by making sure that the
19 language is really understandable.

20 With regard to understanding the

21 outcomes, you know, some of the analyses we
22 really try to do so it's understandable to
23 clinicians as well as patients include
24 responder analyses so they know, well, this is
25 the proportion of the patients in the clinical

207

1 trial who had a clinically meaningful
2 improvement or, you know, worsening, or
3 stabilized. So those are the kind of metrics
4 we feel, you know, help them really understand
5 the outcomes more than kind of what is the mean
6 change from baseline, you know, and the other
7 kind of, you know, modeling that we do on the
8 PRO data.

9 So it's all trying to be, you know,
10 very concrete in the level of change and
11 filling out the difference between responder or
12 minimally important difference, and a lot of
13 people have done different analyses around
14 that. You know, there's anchor-based,
15 distribution-based, and for the EORTC-QLQ-C30
16 we felt very comfortable using that because
17 there have been solid MID research done out
18 there by (inaudible) and so that's what we're

19 using to identify our responders.

20 DR. ROSS: Dr. Cheng, you had a

21 question earlier?

22 DR. CHENG: Yes. Go ahead.

23 DR. FERRUSI: Sorry for the delay. I

24 saw a nice lineup of people and I thought I

25 would wait to see what they had to say.

208

1 DR. ROSS: Just introduce yourself.

2 DR. FERRUSI: My name is Ilia Ferrusi

3 and I'm from Novartis.

4 A lot of good points have been covered

5 here. Standard practice when developing

6 instruments is to develop them at no more than

7 eighth-grade reading level, and I did want to

8 address one component, whether all of the items

9 are relevant, I can't remember who asked the

10 question, but for instruments that are

11 developed as standalone instruments, so I'm not

12 talking about something like an item bank where

13 you pick and choose, but something like the

14 FACT-G for example has been developed, and has

15 domains within it.

16 When cognitive debrief is done, so a

17 first draft of the instrument has been
18 developed and the cognitive debrief is taking
19 and sitting down with a patient in that
20 population, that's a really important part.
21 You're talking to real patients who have the
22 disease condition of interest, and you ask them
23 to work through the items and tell them how
24 they're interpreting this, how they understand
25 the response options. You also would go

209

1 through a practice of asking is this relevant
2 to you, do you feel that any of these items are
3 repetitive, and that's a very purpose-driven
4 process that we go through to ensure that we're
5 not asking too many questions and the fit is
6 just right.

7 So some instruments like, the
8 instruments that, Dr. Basch has actually
9 summarized their development, and he talked
10 about content validity, if you saw a smiley
11 face or checkmark next to content validity,
12 that's some of what he was talking about.

13 DR. ROSS: Thank you. Dr. Cheng.

14 DR. CHENG: Joe Cheng. I just, I

15 still need some clarification as far as what
16 the concerns are about collecting
17 patient-reported outcomes, and I guess my
18 question really is, there seems to be a lot of
19 concern about using PROs in following how
20 patients do. Do you have another suggestion,
21 then, for collecting quality added life years,
22 or how do you really assess things like
23 minimally clinically important difference, and
24 then really, how do you risk adjust without
25 collecting this data, the results of your

210

1 patients? And then how do you then coordinate
2 whether this is related to an episode of care
3 versus fixed time points?

4 And I guess that's what I'm saying,
5 because all the concerns about PROs seem
6 applicable through all of medicine, whether
7 it's a stroke, or spine, or any tertiary center
8 would seem to have the same concerns that you
9 have about follow-up patient care. I'm just
10 still trying to figure out how does this apply
11 directly to CAR T, and are you saying that we
12 shouldn't be collecting any of these PROs for

13 anything we do, or quality added life years are
14 not as important? I guess I just want some
15 clarification on that.

16 DR. SIDANA: Surbhi Sidana, Mayo
17 Clinic. I think it's very important to collect
18 these data, that's why we are doing them. I
19 think what's not clear is exactly which ones.
20 Again, we don't want to burden our patients too
21 much but we also want to get the answers right,
22 what is important to collect and then how
23 frequently do we need to collect it? Do we
24 collect it every week for one year, do we
25 collect it every month for two years, like when

211

1 are we seeing the changes? I think that's the
2 finesse we need to get right, but it's very
3 important to collect.

4 And I think the third part no one
5 really talks about is who's going to pay for
6 it, because right now I'm doing a study that
7 has only 30 patients we need to collect. It
8 takes one patient one hour per questionnaire,
9 each patient will fill out seven or eight
10 questionnaires, so that's a lot of time for the

11 coordinator. And once that patient goes home,
12 someone has to call that patient up, or if
13 they're filling it electronically and they
14 don't answer, someone will be asking that
15 question over the phone to ensure completeness.
16 And if they've gone away from my practice and
17 now they're seeing a local clinician and if
18 there's a symptom, even if I see it, what do I
19 do? Say they say they're having severe pain on
20 that question. Now I'm not following them on
21 an everyday basis, so that creates an ethical
22 dilemma as a clinician, I don't know what the
23 right answer is, but I think it's very very
24 important to collect them, but in some way as a
25 community, and we're already talking about

212

1 forming a working group. How do we answer
2 these questions, like what do we do about the
3 data we get, and who pays for it, and how do we
4 collect it in a standardized manner so that we
5 are collecting things that are important.

6 DR. ROSS: Just in interests of time,
7 try to keep your answers moving along. There's
8 a long line.

9 DR. CHUNG: Karen Chung, Juno Celgene.
10 I completely agree that patient-reported
11 outcomes are important and I think it's
12 important to assess them in kind of a
13 systematic way, and so that's why in clinical
14 trials, you know, we have very good kind of
15 follow-up to all these rigorous schedule of
16 assessments. If they go off study, we have one
17 last assessment. I think the concern is really
18 if we had it in the real world that would be
19 great, but I don't think the infrastructure is
20 there. I don't think there's, you know, a way
21 of getting the data systematically and cleanly.
22 I mean, we have learned from a lot of trial and
23 error in clinical trials a lot of issues with
24 data, you know, getting the data collection
25 right. And so I think to, you know, have the

213

1 general practices pulling this data together in
2 meaningful ways so that we can use it is still,
3 we're a little bit far away, you know, with
4 regard to that and all the other issues with
5 regard to instrument selection and analysis,
6 and all the logistics around it.

7 DR. GO: I just want to give a
8 clinical perspective as a former transplant,
9 as a former allogenic stem cell transplant.
10 CIBMTR has been obviously the biggest group
11 that has been for all, mandated by law. That
12 took them almost 20 to 30 years before we could
13 understand GVHD scoring, and so I think if it
14 takes 20 or 30 years to even get GVHD scoring
15 right, our opinion is it's going to take a long
16 time to really get PROs right, and this is why
17 from Kite Gilead, we don't believe that right
18 now it's warranted in terms of coverage
19 analysis.

20 DR. BASCH: Ethan Basch, University of
21 North Carolina. Thank you.

22 I really, I have to say I came here
23 today, I was very very surprised, as you might
24 be, to hear the reticence on behalf of some
25 stakeholders to collect this information that

214

1 cannot be gathered in any other way in a
2 population that we are bringing back to the
3 clinic all the time, harvesting from,
4 reinfusing, scanning, et cetera, et cetera. We

5 are spending a lot of resources on this patient
6 population and to not collect patient-reported
7 outcomes, which is essentially handing somebody
8 a questionnaire, to me frankly seems rather
9 absurd.

10 There's a many-decade experience
11 administering questionnaires to people in
12 trials and in the real world with very high
13 rates of compliance. There are all different
14 kinds of ways to do it, it can be done on
15 paper, it can be done with a telephone survey
16 system, it can be done with an i-Phone or
17 Android system. This is done all the time.
18 There are hundreds and hundreds and hundreds of
19 registries in oncology patient populations with
20 90-plus percent compliance rates using
21 electronic devices all over the world now, and
22 to say that feasibility is a barrier to me is
23 simply refuting an enormous amount of
24 accumulated knowledge and ability.

25 To the 45-minute or hour-long

215

1 questionnaire, I mean, that seems very unusual
2 to me. Our questionnaires that we use

3 repeatedly take between five and ten minutes
4 long, and we often ask people, to your
5 question, did you find the questionnaire
6 burdensome or too long, I mean, it's really
7 never an issue. There's some trials that have
8 longer questionnaires that are spaced out maybe
9 every three months, but again, I mean to me,
10 compared to what we are asking patients to do
11 in order to receive these therapies, this is
12 minuscule, so I don't really see the barriers.

13 DR. CHENG: Can I ask a follow-up to
14 that question?

15 DR. ROSS: No. Well, I just wanted to
16 allow her to speak, and Dr. Yang has been
17 waiting for a long time. I want to make sure
18 everyone gets a chance to ask.

19 DR. FERRUSI: Thank you, Ilia Ferrusi
20 from Novartis. You know, I think many valuable
21 viewpoints have been expressed here. What I
22 would like to add is that PROs generally, yes,
23 are a great thing to measure to understand
24 ultimately how the patient's experience is
25 going. But what, I want to bring us back to

1 principles and make sure we're focusing on why
2 we're asking for PROs, what is the research
3 question, what is the context in which, because
4 the answer to that question, which measure to
5 use, is going to vary depending on what you
6 want to measure and what the context is.

7 So in broad strokes, it is hard to
8 answer that question and our position, I would
9 like to clarify, is simply that we are not
10 comfortable with PROs being required as a
11 requirement for coverage or access to a
12 medication.

13 DR. ROSS: Great. Dr. Yang, do you
14 still want to ask your question?

15 DR. YANG: This is a question
16 addressing the fact that almost everything
17 we've talked about here today is about
18 capturing acute or on-therapy toxicities, or
19 under-appreciating them. The main difference
20 in my experience with CAR T, especially with
21 CD-19, is it's a one-time treatment, and at the
22 back end patients who are responding or doing
23 well, which is almost half of those patients or
24 more, have a paucity of any interventions or
25 requirements at that point, and are we

1 capturing that? So do any of the people who
2 have PROs associated with their studies have
3 questions such as how many people have gone
4 back to gainful employment, how much more care
5 have they required in the last year or two, and
6 how often do they think about their disease,
7 how often do they have concern or anxiety about
8 their disease, because this can be a one-time
9 treatment and then a walk away.

10 DR. GO: Will Go from Kite. So, we
11 are looking exactly into that, Dr. Yang, in
12 terms of the work productivity and activity
13 impairments in Version 2.0 in our randomized
14 Phase III trial. I think that's the biggest
15 thing we're doing, so we are actually looking
16 at that in all of our trials since this was
17 mandated by the FDA for 15-year follow-up, so we
18 are going to get adverse events, look at the
19 B-cell aplasia, the use of IVIG, as well as
20 some of these other PRO and back to work
21 products.

22 DR. FERRUSI: Ilia Ferrusi from
23 Novartis. To answer your question, no, we are
24 not collecting return to work, but the work
25 productivity, activity impairment questionnaire

1 is a very good tool for that. I would say that
2 we are using, again, the FACT-Lym, which has
3 physical, social, emotional and role
4 functioning, so as a component of role
5 functioning, we can certainly look at a return
6 to normal activity, and we are continuing to
7 collect that data 12, 18, 24 months after their
8 administration of CAR T in JULIET.

9 DR. ROSS: Mr. Frankel, you get the
10 last question.

11 DR. BAR: Sorry. To answer this
12 question about the long-term follow-up, so yes,
13 an effort has been made and is continuing to be
14 made to learn about those long-term effects.
15 Currently we don't have the data, CAR T-cell
16 clinical trials started maybe about five, six
17 years ago so the data we have right now is
18 limited, and I think in the first few years the
19 most excitement was about whether the treatment
20 works or not, what was the response rate, and
21 people paid less attention to more long-term
22 effects and quality of life. However, now when
23 we know that maybe there is approximately a

24 50-percent response rate and long-term
25 response, so people are paying more attention

219

1 to those quality of life questions, and we are
2 planning to follow-up patients at least yearly
3 for 15 years from now according to the FDA
4 requirements, so we are making an effort to
5 learn that, but we still don't have data.

6 And the thing that I would like to say
7 here is that effort has been done, and we will
8 make even more effort to learn those questions.
9 The question is if we need to make this a
10 mandatory thing when we make the decision
11 whether or not to reimburse patients for such
12 treatment.

13 MR. FRANKEL: This question is for
14 Dr. Basch and Dr. Kluetz. You advocate for
15 PROs to also be given to patients who were
16 receiving the standard of care until now. So
17 in other words, as a patient, I think that many
18 would be interested to know how are patients
19 faring in terms of their observation of their
20 own outcome when they receive CAR T therapy in
21 a specific instance, and how are the patients

22 who did not undergo the therapy and have a,
23 let's say three-to-six-month survival on
24 average, how did their feedback look? And that
25 way you could actually compare those two groups

220

1 of patients, and I think that that would
2 probably influence many patients much more than
3 if they only saw receiving the therapy and they
4 saw the drawbacks there, let's say, if they
5 were looking at the advantages and
6 disadvantages, and they could actually compare
7 that to the alternative. Because I think
8 without that, the patients are really at a very
9 weak position to really have a fully informed
10 decision.

11 DR. KLUETZ: Paul Kluetz from the FDA.

12 So I think one of the problems, one of the
13 issues is context which I was talking about a
14 little bit earlier, and that is, is this a
15 single-armed trial or is this a randomized
16 trial. I mean, you won't have that --
17 comparing to a historic control is obviously
18 going to be very challenging in this field
19 right now given the heterogeneity of the tools

20 that are used, and assessment frequency and
21 things like that, and so really when you
22 compare it to the standard of care you're
23 talking about a randomized trial much like the
24 one that was actually presented as, I guess,
25 the second-line trial that was presented.

221

1 Now you could do that, and in fact
2 that's the majority of what we get at the FDA
3 in oncology, is randomized trials, and they do
4 ask the same questions of both arms, and that
5 does help to give you a comparison of how well
6 they may feel or function on one arm versus the
7 other.

8 MR. FRANKEL: And how about moving
9 forward? So in other words, does that, for
10 whatever reason they're not eligible, or they
11 opt not to go through CAR T therapy? Maybe
12 they're concerned about certain toxicities
13 involved, but capturing the data from those
14 patients so that the patients in the future who
15 have to decide between the two could have that
16 at their disposal.

17 DR. KLUETZ: Yeah, that may be outside

18 of more of a regulatory question but it is an
19 interesting question, and I don't know how you
20 would design that, but it doesn't seem like
21 something you would normally see in the
22 regulatory setting.

23 I did want to actually add one more
24 point to the point of, have people ever used at
25 the FDA patient-reported outcomes to make a

222

1 negative decision? Let's remember that in
2 oncology we have objective tumor-based
3 measures, and survival is our primary efficacy
4 measure, and we always have. In many other
5 therapeutic areas that's not the case, so I
6 don't want to speak for the entire FDA by
7 saying we don't use patient-reported outcomes
8 in a very important way to make key efficacy
9 decisions, because that's actually not true.
10 There are many therapeutic areas where the
11 disease manifestation is only a symptom and
12 that's the only thing to measure, an analgesia
13 being an obvious example, and in those you need
14 to show that patient-reported outcome is
15 improving, or that therapy is not going to show

16 any efficacy.

17 DR. ROSS: So at this time --

18 DR. BASCH: I just want to respond to
19 the question briefly.

20 DR. ROSS: Please introduce yourself
21 first.

22 DR. BASCH: Ethan Basch from the
23 University of North Carolina.

24 So, the most valuable comparative data
25 will be from a prospective randomized

223

1 controlled trial, that's one of the reasons why
2 it's really important for, you know, sponsors
3 in their discussions with regulatory
4 authorities, to really think about these
5 outcomes and pick them right at the very
6 beginning, so we can really understand in that
7 context because, you know, we have a little bit
8 more equipoise in that setting.

9 I think your question really alludes
10 to real settings, to registries and postmarket
11 surveillance, I would guess. You know, I do
12 think there's value in having comparative data
13 after a drug is on the market in order to do

14 comparisons, especially if that information was
15 not really fully characterized pre-approval, or
16 if there are not long-term outcomes prior to
17 marketing. That said, there are limitations.
18 Obviously there are many dimensions of
19 selectivity, patient and provider selectivity,
20 and so these populations will inherently
21 differ, those who did and didn't get the
22 therapy of interest, in this case CAR T. And
23 so if that was done, then there are methods of
24 balancing those differences in observational
25 data, they just have to be done very well.

224

1 DR. ROSS: So, thank you to the
2 presenters again, and speakers, for continuing
3 to answer our questions. So I let us go about
4 ten minutes over, this was obviously a very
5 rich discussion, and many of the panel members
6 had questions.

7 We're now supposed to transition to
8 the period where we have an open panel
9 discussion. I will just note that we are not
10 precluded from asking the speakers or
11 presenters additional questions, but if you are

12 asked, I would request that you keep your
13 answers very short. But this is really an
14 opportunity now for the panel to further
15 discuss the area, to think about in
16 anticipation of the voting which is going to be
17 in an hour from now, what further information
18 we need or that we still feel uncertain on.
19 Dr. Goss. Oh, and then -- go ahead.

20 DR. GOTTSCHALK: I would like to
21 circle back to two things. One of these is
22 duration of follow-up. You know, some have
23 mentioned the FDA mandate of 15 years, but that
24 really comes out of the gene therapy arena to
25 look at the risk of insertional mutagenesis

225

1 after the transplantation of genetically
2 modified T-cells, so the question is really,
3 how long should we really follow-up these
4 patients?

5 And the other question is, or kind of
6 comment is, right now there's no clear proof
7 test to track the commercial products, and I
8 would encourage the companies to develop those
9 because in the PRO assessment if something

10 comes up, of course we want to know, what is
11 the precursor, are there some measurable
12 CAR T-cells, and that is not right now
13 available outside the research setting, so I
14 think that probably is another key thing you
15 really need to assess the safety involved in
16 the long-term outcome of these cells.

17 DR. ROSS: Can I just ask,
18 Dr. Gottschalk, are you asking that question to
19 the panel to say clinically, what's the
20 appropriate time?

21 DR. GOTTSCHALK: What is the
22 appropriate time, how long should we really
23 follow these patients?

24 DR. CHENG: So basically from what I
25 understand and from what I heard, like

226

1 Dr. Abikoff mentioned, that 54 percent of
2 patients went from partial to complete. I
3 assume the symptomatology would also follow the
4 difference between a partial versus complete
5 remission in nine to 12 months, which means it
6 would seem to me you would have to follow at
7 least 12 months in order to get -- and that was

8 a question that was asked before, so if it's a
9 question about the three choices that are
10 listed there, it would have to be at least 12
11 months or up to 24, in order to see whether or
12 not the patient symptoms would follow the
13 response rate.

14 DR. GOSS: Actually I have a
15 contextual question because I mentioned it
16 before, but I was wondering if Tamara could
17 clarify it for us. The way these questions are
18 asked, they're not asked specifically about CAR
19 T, I just want to be sure that's correct. So
20 we're asking about PROs in the Medicare
21 population, and we're asking about some
22 specific measures, and then we're asking about,
23 you know, ability to implement. But nowhere
24 does it say specific conditions and nowhere
25 does it say, you know, specific treatments, so

227

1 we might have to think more broadly if we're
2 putting a time frame. I understand for CAR T,
3 you know, six, 12 or 24 months might be
4 appropriate, but for other situations it may be
5 longer, and so it may affect how we answer

6 these questions. I just want to make sure I
7 understand the questions.

8 MS. JENSEN: Do you want to add to
9 this, Joe? So, I do think it's broader than --
10 yes, we didn't specifically say CAR T, so is it
11 generalizable, but I'll also look to the team
12 to see if they want to add to anything. Okay,
13 I'm good. Yes, you are absolutely right.

14 DR. CHENG: If that's the case, then
15 it makes some of these questions challenging,
16 like the length of duration of follow-up,
17 because if it's not disease-specific, the
18 duration will then obviously change.

19 DR. GOSS: And again, most of these
20 measures are PRO oriented, or I should say
21 oncology oriented, so there's an implication
22 there, but it's not, it certainly wouldn't be
23 relevant for cardiovascular disease, but the
24 way we're answering some of these questions in
25 that general sense, CMS could apply these

228

1 recommendations, I guess, more broadly. I just
2 want to make sure we know what we're voting on.

3 MS. JENSEN: Correct. So, you know,

4 the national coverage determination that's open
5 is CAR T, but yes, some of these answers could,
6 depending on what happened, could be used, we
7 might be able to use these more generally as we
8 move forward in other types of technologies.

9 DR. JAMES: And I'd just like to put
10 forth a question I have for CMS. The selection
11 of the PROs is one that you have judged based
12 on oncology. There's a whole host of others
13 out there. AHRQ has developed a whole series
14 of CAHPS measures that are used for making
15 judgment on the quality of care that is being
16 done to patients from their perspective. And
17 the National Quality Forum also contracts with
18 CMS in looking at PROMIS for the development of
19 quality-based measurements. Are any of those
20 in play or are those future developments?

21 MS. JENSEN: Those are not in play for
22 this MEDCAC.

23 DR. CHENG: I would actually, then,
24 just kind of think that we are looking at this
25 specifically for CAR T, because for example if

1 you look at PROMIS, PROMIS goes from everything

2 from, you know, the PROMIS-10 which you can
3 crosswalk to EQ-5D-3L for example, as a
4 historical control to these other
5 disease-specific measures, so I think when
6 we're looking at this, unless we put it in the
7 context of oncology and specifically CAR T, it
8 would be very challenging to make heads or
9 tails of how to answer it, because you can't
10 compare PROMIS, for example, to MDASI outside
11 of a specific context.

12 DR. ROSS: Yes, I think we should be
13 encouraged on oncology for sure, including
14 CAR T. I would keep us, we should not be
15 thinking outside of the oncology space.

16 DR. GOSS: Just a comment, or really
17 thought that I had that I want to share with
18 the other panel members is particularly when
19 you think of a situation like CAR T, I was an
20 observer at a MEDCAC a month ago on a
21 completely different therapeutic area, and one
22 of the presenters got up and said, you know,
23 one of the most important things for a patient
24 that they want to know is what can I do to stay
25 independent.

1 So on one level, PROs, everything that
2 is local and specific to an individual patient
3 is important to them, and you know, being
4 functional and not being a burden on their
5 families or their caregivers is very important,
6 and it seems to me that the patients who got
7 into the CAR T trials didn't get there by
8 chance, there is significant selection bias
9 where patients sought out treatments, they had
10 nothing, you know, they felt they had nothing
11 else to lose, but not every patient with a
12 cancer actually feels that way, so some
13 patients are willing to forgo treatment and
14 toxicity in order to be able to have peace, you
15 know, for whatever time they have left.

16 And so I think there's a -- and the
17 industry team I think did a very nice job of
18 presenting your studies, except I don't think
19 your findings from your trials are
20 generalizable to Medicare per se because of
21 that, number one. And so I think your notion
22 that well, we believe in PROs but we're going
23 to measure them in trials, I think is great and
24 is important, helps the regulators make
25 decisions, but it doesn't generalize to what

1 Medicare has to deal with in terms of whether
2 or not these should be more broadly available.
3 And so I think it's important if you're not
4 going to support this type of notion for going
5 forward in some really systematic way, I think
6 you'd be well advised to Phase IV studies to
7 include additional PROs to help inform these
8 questions that will inevitably come up again,
9 because I think, you know, the population
10 you've studied is a very slim narrow part of
11 the population that could eventually be trying
12 to seek out this treatment, and I think that's
13 a concern.

14 DR. CUYJET: I just have a comment to
15 make and I think one of, part of this
16 conversation in order to be used as a
17 brainstorming operation on how to do things
18 better, it was mentioned that physical activity
19 is a very important monitor for improvement.
20 In my past experience we used telemedicine in
21 experiences with heart failure in Medicare
22 patients, and usually you don't just have heart
23 failure, you have diabetes or hypertension, or
24 an abnormal lipid profile, and if you can get

25 patients to invest -- it doesn't make any sense

232

1 to invest in the heart failure and not take
2 care of your diabetes and not take care of your
3 other comorbid conditions. So I think we ought
4 to start thinking about the mobile technology
5 that's emerging as an opportunity to track
6 patient improvement independent of pure
7 patient-reported outcomes which can be very
8 subjective depending on time of day and how I'm
9 feeling and how much pain I'm having. But
10 there may be a more, a better tool to improve
11 outcomes over a period of time, and it's stuff
12 that can be transmitted electronically, it
13 doesn't require -- you can decide whether you
14 want to monitor on a weekly or monthly, or
15 bimonthly basis, it's entirely -- I think we
16 ought to start thinking about how going forward
17 we can track better patient outcomes and
18 responses more easily with better information.

19 DR. PERISSINOTTO: I just want to add
20 to what you said because, or to both of you
21 actually, because my biggest challenge now as a
22 clinician in geriatric and palliative medicine

23 is exactly this question. When my patients go
24 to see their oncologists or their surgeons, and
25 they're trying to understand the risks and

233

1 benefits of consenting to these procedures, and
2 most of the time the data that's presented is
3 around survival, it's around dying in the OR
4 and very narrow-based things. Yet what my
5 patients want from me is to know what is my
6 quality of life going to be like afterwards and
7 am I going to walk, what is my cognition going
8 to be like? So these tools, whether we use
9 them to approve drugs, or we use them in what
10 part, it is important to know how is this going
11 to inform them, and help me as a clinician in
12 assisting them in their decisions.

13 DR. GOSS: Yeah, I think a shared
14 decision-making model would be really important
15 here.

16 DR. PERISSINOTTO: A novel idea.

17 DR. GOSS: And you know, honestly, and
18 I don't know how this would play to the PRO
19 experts, but if you look at the PRO and getting
20 some kind of time trade-off, and giving the

21 vignette of what, you know, if you think about
22 what cytokine release syndrome looks like and
23 explain that to a patient, you know, here's
24 your chance of survival but here's what you're
25 going to have to go through before you're

234

1 feeling better that might be even more
2 relevant because that has to do with the
3 decision to treat or not to treat, which is
4 different than what do I look like nine months
5 from now. So just a thought, because it's a
6 different set of concerns, but it could be very
7 important to patients and to providers.

8 MR. FRANKEL: I don't want to harp on
9 it, but when you treat those patients in a
10 geriatric population, when it's presented to
11 them, do you think that it's crucial for them
12 to see the alternate paths? So in other words,
13 if you hone in on one potential therapy and you
14 discuss the risks versus benefits, and they say
15 well, they don't want to have these types of
16 potential adverse events, and then I think a
17 key part of that discussion has to be well, if
18 you don't do this therapy, these are the

19 quote-unquote adverse effects of not doing
20 anything and it's not exactly a pretty list
21 either. So I think if you don't give that list
22 in a very clear and transparent way, then the
23 patients are not really making an informed
24 decision, they're making a very biased decision
25 because they're only seeing the drawbacks,

235

1 they're not seeing the optimal potential
2 outcomes and the risks, in this case death, and
3 a death that could potentially have a very
4 challenging period of time until that point in
5 the next few months.

6 DR. PERISSINOTTO: Yeah. I think if
7 you really look at a shared decision-making
8 model, you're not really starting with the
9 risks and benefits, you're starting with what
10 are your goals and what are you hoping for, and
11 if you start from that point, then you back in
12 to the risks and benefits of treatment versus
13 not treatment. So I think that absolutely you
14 have to, you know, weigh the cases of, for
15 example, you have metastatic GI cancer and you
16 can go through a surgery and chemotherapy and

17 have significant toxicity and end up with, you
18 know, a pouch after the surgery, and without
19 that treatment you will have a bowel
20 obstruction, so it is looking at how you will
21 die. It is also looking at limited life
22 expectancies, and as we heard with these
23 trials, you're looking at people already with
24 limited life expectancies, and you do have to
25 weigh those, but it is starting from the start.

236

1 What we don't often do as clinicians is saying
2 what are you hoping for, because if someone
3 tells me I don't want to prolong my life and I
4 want to focus on the quality, then that's a
5 different thing than saying I want to prolong
6 my life at all costs regardless of side
7 effects.

8 MR. FRANKEL: Do you think that that
9 answer can change depending on the data that's
10 provided to them, so if a person says --

11 DR. PERISSINOTTO: Yes, absolutely.

12 MR. FRANKEL: Right, so that's what
13 I'm saying that may be critical here, because
14 we're dealing with a patient population where

15 education is key and that's what the PROs are
16 all about, it's to be able to educate the
17 clinician and the patient alike. And if you're
18 only collecting and emphasizing the data of the
19 risks versus benefits of the new therapy and
20 not very clearly articulating the alternative
21 course, then I just think that patients are, I
22 mean in the context of patient advocacy, most
23 patients in my experience want to live and they
24 want to live with good quality of life, that's
25 ideal.

237

1 Then the question comes, well, if you
2 can't have that, then what's the best
3 alternative? And many times if the best
4 alternative is survival, it's well, how's that
5 survival going to look, is it going to be
6 painful next few months and death in one, let's
7 say for example. Is it going to be a painful
8 next six weeks and then survival with a
9 restoration of quality of life, perhaps with
10 CAR T therapy.

11 DR. JAMES: We're addressing the whole
12 area of patient preference, which is really not

13 addressed in PROs, but is the next step up from
14 that, because you can get informed information
15 and share that with the patient, but without
16 understanding what the patient's goals and what
17 the family goals are, you don't have that
18 preference.

19 DR. ROSS: Yeah, and I'll just note
20 that in shared decision-making, it's not
21 treatment yes-no, it's treatment path A versus
22 treatment path B, and PROs are aspects of
23 information that help inform those goals of
24 care, they're not actually the shared
25 decision-making themselves. So we're talking

238

1 about information that can inform the patient
2 care plan in terms of what their goals are,
3 what their objectives are, if quality of life
4 is more important than mortality, or whatever
5 the tradeoffs may be.

6 DR. CHENG: I think that's the
7 disconnect that I'm seeing here, is that we're
8 talking about the quote-unquote real world
9 application and real world assessment versus
10 the clinical trials and the inclusion-exclusion

11 criteria, because we know that when we treat
12 patients in a clinic we don't follow
13 exclusion-inclusion criteria the way we do in
14 these clinical trials.

15 And so maybe getting back to one of
16 the discussion points was are there other PRO
17 assessments, I guess the question I would pose
18 to the group is, are these too specific for
19 cancer per se, and should we be looking at this
20 as a simple EQ-5D to say look, all we want to
21 care about is what's the quality of life here
22 of a treatment, something that's easy to do.
23 EQ-5D, I think it's hard to argue that that's
24 an onerous add, but yet would give us a general
25 health assessment whether or not going for a

239

1 treatment, or any type of treatment, whether
2 it's CAR T or lifelong IVIG, et cetera, how
3 much effect it would really help. Because
4 that's something that we could then talk to our
5 patients about, the whole idea of the quality
6 of life here.

7 So I guess that's a question. I know
8 we're being asked to talk about these PROs, but

9 one of the concerns from everything we heard is
10 that these are just too onerous to get on a
11 regular basis for the data that we're getting
12 out of it, and should we take a step back and
13 just say for example for PROMIS, let's start
14 off with a PROMIS-10, let's start off with
15 something modular that we can build up, but
16 still gives us the idea that, is this treatment
17 really helping somebody, or are we looking at
18 administrative or other variables that the
19 patients may or may not care about?

20 DR. GARRIDO: I think there's a
21 tension between finding a scale that provides
22 useful enough information but that is still
23 going to be sensitive to changes after
24 receiving a treatment. We don't want measures
25 that are too specific related to very specific

240

1 adverse events that are only going to occur in
2 a subset of patients or a subset of therapies,
3 but if we go to too global of a measure, will
4 we see any meaningful change in that after
5 receiving some type of therapy, whether it's
6 CAR T or something else. I don't know the

7 answer.

8 DR. PERISSINOTTO: And also I think
9 that it was mentioned a couple times before,
10 you know, in surveys you have patients that say
11 oh, I remember three words from last time, I
12 don't have problems with cognition if I
13 remember them from last time, but certainly
14 that's part of it. But I do like one of the
15 things that I think Dr. Basch said in terms of
16 the additional characteristics of maybe having
17 some general health assessments and part of
18 that would be dealing with function and
19 physical health, because I think I mentioned
20 earlier, it is clearly a struggle for all of us
21 in how we measure cognition in a more reliable
22 way, both in terms of adequate measures and
23 then being self-reported.

24 DR. CIVIC: I have kind of a related
25 thing, a little bit of a committee process

241

1 that, you know, we're looking at these
2 instruments and we may or may not want to add
3 more to our list at this point, but we've also
4 talked about how this is a developing field and

5 that there aren't necessarily, you know, there
6 might be better instruments developed in the
7 future or CAR T specific instruments. So it's
8 like choosing some, you know, one, two, three,
9 four, or seven of them now, probably that's not
10 going to preclude the addition of other
11 instruments as they get developed, but it's not
12 entirely clear.

13 DR. ROSS: Well, I can let CMS answer
14 that. I think because it's part of the
15 discussion questions that they are looking for
16 our advice on things that they should be
17 considering in the future as well. Is that
18 correct, or not exactly?

19 MS. JENSEN: No, I think -- I mean,
20 that's -- I don't think this is the end of this
21 conversation, and so this is what we have for
22 today.

23 DR. ROSS: Dr. Yang.

24 DR. YANG: You know, I think we can
25 either make these PROs too specific or too

242

1 general. If you make them specific, you have
2 the advantage of them being applied to the

3 treatment you're talking about. If they're too
4 general, you put the burden on patients to
5 decide their global assessment. And if they're
6 nauseated at the time they're filling out the
7 questionnaire, they're not thinking about the
8 surgery they need next week or the IV they
9 might need next week, they're thinking about
10 this problem right now, so I see that as the
11 problem in both directions.

12 And so -- and the other problem I have
13 is when you're talking about metastatic cancer,
14 for instance, the outcomes for solid tumors are
15 all the same, so you're just discussing how
16 much intervention, quality of life and other
17 issues, but if you're talking about a
18 potentially curative treatment, who fills out
19 the questionnaire for the patient who dies, and
20 what do they put down? So I don't really know
21 how you can globally assess, then, the impact
22 of the treatment if the other alternatives, if
23 one of the possibilities is you could get over
24 this cancer.

25 DR. GARRIDO: Related to that, we have

1 our question about the optimal duration, or how
2 confident we are about whether we can get
3 meaningful results if we look at a six-month
4 trajectory, or a 12- or 24-month trajectory of
5 PROs. I'm concerned about long-term monitoring
6 of PROs and survival drop off, especially if we
7 end up doing some kind of long-term follow-up of
8 a therapy versus standard of care using a
9 registry. So if we have patients who aren't
10 able to answer questions either because of an
11 adverse event or due to differential mortality
12 in the two groups, it's going to make it very
13 difficult to isolate these after the treatment,
14 even with the best practices in observational
15 data analyses.

16 I run into this all of the time in
17 palliative care research where one of the main
18 goals is improving quality of life, we're not
19 trying to improve survival, but it's, the
20 people who are getting palliative care versus
21 not, no matter what we do to try and make
22 comparable treatment groups, they're so
23 different that it's really hard to isolate the
24 effects of palliative care.

25 Just something to take into account as

1 we're thinking about meaningful durations for
2 looking at these measures.

3 DR. CHENG: And I guess I would just
4 answer, you know, if someone passes away,
5 certainly functional outcomes are pretty
6 irrelevant, so I don't think that's really a
7 good point. But I think one of the things
8 we're really talking about is just the
9 challenge of postmarket surveillance of any
10 treatment, and I don't think that's something
11 that we can say isn't needed or is too hard to
12 do, because the durability of any treatment is
13 going to be pretty important irrespective of
14 the field. And so I think from a larger
15 standpoint, we do need to look at ways of
16 assessing what is the durability and the
17 long-term outcomes for our patients, and
18 whether or not it's a short-term gain or
19 long-term gain does depend on whether or not we
20 want to put our patients through this overall.

21 So as a surgeon, if I do a surgery
22 for, you know, for a metastatic tumor, then
23 sure, I can get them through it and they'll do
24 fine for six months and still pass away, but
25 boy, is that worth it if they have

1 postanesthesia issues like postoperative
2 cognitive issues, et cetera. And I think that
3 is the question that needs to be answered here,
4 which is, is there a surveillance tool, you
5 know, that we can use to assess whether CAR T
6 or other treatments have the durability of
7 effect, or is it something that we follow for
8 three to six months, it seems okay, and then in
9 two years durability starts waning, and whether
10 or not that's worthwhile, or is it the IVIG
11 that helps keep it from getting there?

12 DR. ROSS: And I also want to
13 emphasize, particularly in the realm of
14 postmarket surveillance, we're not necessarily
15 just thinking about these PROs for patients who
16 lived versus died and how to then assess the
17 missingness, but you know, quite often this
18 type of information as new therapies come to
19 market and other therapies gets tweaked, this
20 happens quite commonly in the medical device
21 space, you know, that the devices themselves
22 improve over time, you use this type of
23 information to better understand symptom burden

24 with those sort of, you know, iterative product
25 over time, and comparatively across products.

246

1 MR. FRANKEL: In terms of the
2 neurologic toxicities, which really goes hand
3 in hand again with the question of how long to
4 capture the data, I think that it was mentioned
5 by Dr. Go and Dr. Ferrusi about 14 months or
6 so, that Dr. Go mentioned 14 months in terms of
7 seeing a complete response when there wasn't
8 until that point. But what about, in terms of
9 neurotoxicity, how long did you see that at
10 that point at 14 months, what percentage of the
11 patients that had neurotoxic effects did you
12 still see at that point along the line?

13 DR. ROSS: If you get a question
14 directed to you, you may stand.

15 DR. GO: Will Go from Kite. So yeah,
16 we're still exploring that in all of our
17 studies, so we don't really have all the data
18 right now, but in general we only had at that
19 point in time when we get a cutoff that we will
20 then file with the FDA as well as will be
21 publishing in a journal, we had one patient

22 with grade one memory impairments. So that's
23 sort of the work that we're doing, but again,
24 these are sort of crude measures as well, and
25 so as I said before, we're trying to figure out

247

1 how to do this because we are very interested
2 in PROs, as well as neurocognitive testing, so
3 we're exploring those opportunities right now.

4 MR. FRANKEL: And how do you, did you
5 adjudicate which neurotoxicities observed were
6 related specifically to therapy versus just
7 because of hospitalization that you see in an
8 older population?

9 DR. GO: Right, where's my FDA
10 colleague? Oh, he's gone, all right. I'm
11 going to tap him in in a second here. So
12 that's exactly right, and so obviously we do
13 have attributions in our clinical study to, is
14 it related to the CAR T therapy, is it related
15 to disease, is it related to the cytotoxic
16 conditioning chemotherapy.

17 MR. FRANKEL: Or is it delirium
18 because of an in-hospital experience?

19 DR. GO: Correct, so we don't have it

20 specifically, so all we ask is, is it related
21 to CAR T, yes-no, and then in our new trials is
22 it related to disease, yes-no, and that's the
23 only thing that we really have, it's very crude
24 and rudimentary, but this is exactly the
25 question to clinically, and as I used to

248

1 practice, I mean, I get delirium in the ICU
2 with all the beeping, you know, when I was an
3 ICU resident, so that's --
4 DR. GOSS: Was the neurotoxicity
5 measured with a PRO measure or was it usually
6 Barthel or something else?

7 DR. GO: So, this is why -- sorry to
8 interrupt, but this is why the second time we
9 did a mini-mental status exam, because one,
10 that had already been tested in blinatumomab
11 prospectively, but obviously you can't even do
12 a mini-mental status exam because you're in
13 Grade 3 neurotox that means a mini-mental
14 status exam's a zero. And that's why, you
15 know, rudimentary we went from a 27 to 30,
16 which is roughly normal, the patients who had
17 Grade 3 neurotox went to zero and then came

18 back to roughly 27 or 30.

19 This is the challenge. We didn't do
20 any proxies, because obviously that's another
21 challenge to collect that. And then to your
22 point, though, this is why I think it's
23 challenging, especially in the neurotox
24 setting. What we try to do for consistency,
25 number one, we use a CTCAE 4.03, we do not

249

1 have, we collected all of it, we provided all
2 of it. And this is a challenge because some of
3 the neurotoxicities were at the time of death
4 and clearly with patients who had progressive
5 disease, so this is why this is a challenge,
6 because as a lot of people know, how do people
7 die of leukemia and lymphoma and fascial
8 diseases and progressive diseases, and a lot of
9 times the patients are in an impaired
10 neurologic state.

11 And I'll tap in my FDA colleague.

12 DR. KLUETZ: Paul Kluetz from the FDA.

13 The issue of attribution, I can't stress, is
14 one of the most challenging factors in
15 evaluating clinical trial data because of all

16 of the situations that you've just mentioned.
17 Disease can cause it, treatment can cause it,
18 comorbid disease can cause it, and many times
19 it's very complicated and challenging. In
20 fact, this is why we don't like disease-free
21 survival as an endpoint. Even though it would
22 be nice and clean, when patients die, it's very
23 hard to determine whether or not it was due to
24 disease or due to something else.
25 And so what, the way we look at

250

1 attributions in a randomized trial, if it was a
2 randomized placebo-controlled trial, even
3 better, but we hardly see those much anymore,
4 so in single-armed trials we just assume that
5 for now, until we get more data, that it is at
6 least possibly related to the drug.

7 DR. BAR: Specifically regarding the
8 neurotoxicity, so there is some data from our
9 institution, and definitely patients that are
10 undergoing the CAR T-cell CD-19, they do have
11 neurotoxicity, patients who develop CRS are at
12 high risk for developing neurotoxicity, and
13 there has been a trial that was published a few

14 months ago from our institution trying to
15 understand the mechanism that caused the
16 neurotoxicity.

17 There is no clear answer but there is
18 some direction showing probably that there is
19 some permeability of the blood-brain barrier
20 that caused increased toxicity. However, what
21 we found was that the neurotoxicity is usually
22 short term, and even patients that develop
23 neurotoxicities, patients with CRS
24 neurotoxicity, it is usually short term and
25 patients do recover within a number of weeks.

251

1 So when we started to look at
2 longer-term data on those patients, we did not
3 see the patients that had short-term
4 neurotoxicity have some cognitive defects
5 later, its early data, and we didn't study
6 that very systematically, but from the data
7 that we have, even though they had high risk of
8 neurotoxicity if they developed CRS, it was
9 short term and with no long-term cognitive
10 effects.

11 DR. ROSS: Dr. Yang.

12 DR. YANG: You know, when I think
13 about the issue of mandating a PRO, I think of
14 you have a purpose for that, you know how to
15 use that information if you're going to mandate
16 its acquisition, and I wonder how I would use
17 that information if I were a clinician and had
18 an infinite database on PRO information, I
19 could present 13 percent nausea incidence to a
20 patient, five percent severe, or I could say,
21 you know, 87 percent of patients don't have
22 nausea, and I could say the same thing about
23 almost every complication. And then I would
24 also have to integrate that with, you know, you
25 have a 30 to 35 percent chance of having a

252

1 durable complete response. So I find this, the
2 information is definitely helpful, definitely
3 useful, but I don't know how I would
4 specifically apply it in a uniform consistent
5 fashion, if I had it all.

6 DR. OLSON: I can respond to that to a
7 certain extent as a patient, specifically as a
8 patient who reported outcomes with one of the
9 CAR T clinical trials since I was in one

10 unfortunately about, almost eight years ago.
11 There was one patient, actually two patients
12 treated before me. We had no idea what was
13 going to happen, but fortunately I had two
14 patients just ahead of me, and I was warned
15 that I was going to get sick and what the
16 symptoms were going to be and what to expect,
17 and that really helped because when I started
18 getting sick I went yay, it's working. But it
19 takes a little of the scary out of it to know,
20 okay, somebody else got treated this way, I'm
21 reacting the same way, it makes me feel better.

22 And again, you know, whether it's
23 percentages or just general information of
24 here's what to expect, especially in clinical
25 trials where, you know, the trial I was in, the

253

1 only animals that had been treated were mice
2 before the three of us, so there's not much
3 data, but as that data grows, they will feed it
4 back to the patient who is considering a
5 clinical trial, and I think that is really
6 important.

7 And another piece of that is that I'm

8 part of the LLS First Connections program, so I
9 provide to a certain extent the
10 patient-reported outcomes, a lot of CAR T
11 patients that we have now, to approved drugs,
12 I'm getting probably a connection one or two
13 times a month, and what the patients want to
14 hear is what do I expect, what's going to
15 happen, I've read this. And of course you have
16 to be careful, you're not their doctor, but at
17 the same time it's so comforting to them to
18 hear somebody else that's been through this and
19 they survived, and to know what they're going
20 to expect, you know, when they go into those
21 things, okay, you know, Doug told me that's
22 going to happen.

23 I literally just yesterday got an
24 email from one of my First Connections patients
25 that I had talked to probably three months ago,

254

1 and she sent me a note. She said I want you to
2 know I went through my CAR T therapy and it was
3 really a battle, and she had a lot of
4 neurological effects, she said they knew how to
5 treat them, she was rough, but on the other

6 side she's in complete remission, and it was
7 really worth the fight, but she knew all the
8 stuff going in. So really, it takes the fear
9 away.

10 And then I have one more comment since
11 I have the microphone. We were talking about
12 duration of follow-up. CAR T-19 is creating a
13 whole new group of patients that haven't
14 existed before. A lot of us don't have
15 B-cells. I get my IVIG once every, right now
16 I'm getting it every other month, I was getting
17 it every three months, and we're feeling our
18 way along, but to be able to continue, I'm
19 almost eight years out as I said, but I'm still
20 without B-cells, and there's a whole bunch of
21 folks coming behind me, so I think long-term
22 follow-up is going to be important.

23 And just one more comment about PROs
24 and clinical trials. I get a little bit
25 worried when I hear some folks expressing the

255

1 fact that it may make it difficult to get some
2 clinical trial started or that it's going to
3 slow down enrollment or whatever, and I

4 certainly would caution CMS with regard to how
5 it gets the requirement for PROs in clinical
6 trials, how it gets applied, such that it
7 doesn't get in the way of patients getting
8 enrolled and being able to participate in the
9 clinical trials, because right now it offers so
10 much help and hope to patients.

11 DR. ROSS: That was very helpful,
12 thank you. Other questions from the committee,
13 or discussion points that they want further
14 considered?

15 DR. CHENG: I think, you know, when we
16 talk about PROs and clinical trials, I think,
17 you know, there's a number of what I would call
18 disconnects because we're seeing a number of
19 societies and national organizations develop
20 their own registry effort to collect patient
21 outcomes, whether it's Neurosurgery with QOD,
22 or the Society of Thoracic Surgeons, et cetera,
23 and so it seems that some of the concerns that
24 were brought up before, with for example data
25 acquisition I think Red Cap is a fairly cheap

1 or free tool. And so I think as we move

2 forward, I think PROs are going to be something
3 that is going to be captured, like in
4 Washington State where we capture scope over in
5 Seattle on a regular basis, irrespective of
6 whether it's a trial or not, and I think the
7 idea of understanding what is the quality of
8 the care we provide patients is going to be
9 important, not just for oncology but just for
10 medicine in general, and I'm saying that the
11 tide is going in that direction where we have
12 to be able to show the benefits of anything
13 that we do in medicine, and whether we like it
14 or not, the PROs are probably going to be the
15 best way to do that, because you can't do a
16 randomized controlled trial for every single
17 question we have in medicine, not
18 realistically.

19 DR. ROSS: Dr. James.

20 DR. JAMES: One point that Dr. Basch
21 raised that I think we need to consider, and
22 that is as we sit and talk in terms of what is
23 being recorded by physicians on adverse effects
24 versus what comes out from a PRO, there's a
25 gap, and how do we explain to our patients that

1 gap between what's being reported to the FDA
2 and what patients are reporting.

3 DR. ROSS: Dr. Feinglass.

4 DR. FEINGLASS: I think everybody on
5 this panel, industry included, would be the
6 first to say that the patient's view is
7 important, and at the end of the day the
8 patient comes first. None of us are here for
9 any other reason than that, or I hope we're
10 not. But I think the other piece surrounding
11 PROs in general is the heterogeneity of the
12 field, which in some cases the PRO is
13 constructed to be different from another PRO on
14 purpose, so I think what the panel has to make
15 a decision on at the end of the day in answer
16 to the questions from CMS are not specific to
17 CAR T, they are specific to, are PROs useful in
18 the arena of clinical research, and how do they
19 inform the decisions that we are going to make
20 while we see patients, while we conduct trials,
21 while we design treatments.

22 So one of the things I want to make
23 sure we all remember at the end of the day is
24 not only the number one thing, that the patient
25 is at the end of it, the second part is as

1 we're considering the tools, they're
2 heterogeneous on purpose in some cases, and how
3 are we going to use that uniformly, are they
4 generalizable, are they not generalizable, and
5 I think what we've heard many of the presenters
6 say today is they are meant to be used in very
7 specific cases, they are meant to be used with
8 care, they are not applicable to everything,
9 and I think as we consider the questions, we
10 need to keep that in the back of our minds.

11 DR. GOSS: I was just going to say a
12 couple last thoughts, and I agree. I mean, the
13 patient effectively is critical, and I think
14 it's valuable that CMS is actually asking these
15 questions and addressing this issue. I
16 remember a number of years back, so some of the
17 data we can get from clinical trials that is
18 very useful, and obviously it's almost a
19 standard, and probably is a standard for FDA to
20 require PRO endpoints in, or PRO data in
21 clinical trials. And there's still, even with
22 that, there's still some gaps, so there's
23 opportunities to fill gaps.

24 My recommendation to CMS is to keep

25 asking these questions, and to be adaptable and

259

1 flexible because the field is in motion, it's
2 evolving, and I think there's valuable
3 information here that will guide decisions made
4 by patients, decisions made by payers on, you
5 know, what's valuable and important in
6 treatment and technology. And you know, I
7 think overall, we would be well served to
8 remember that. When we don't have complete
9 clinical information, PRO data can at least
10 provide good color and give guidance.

11 So, I remember 15 or 20 years ago, CMS
12 issued a coverage determination or an NCD for
13 treatment refractory seizures. The important
14 question was, well, it doesn't cure the
15 disease, why would we pay for this, and the
16 answer is because it showed a significant
17 reduction in the events, and there was a strong
18 correlation between the reduction in events and
19 patients' quality of lives. So there is a way
20 to bring it back to patients, and that's really
21 important for us to remember.

22 So even if we don't have a perfect

23 solution, it's worth trying to improve the
24 field and make incremental gains as we go,
25 rather than throw our hands up and say there is

260

1 nothing to do.

2 DR. ROSS: Okay. Do any of the
3 committee members want to make any final
4 comments during this discussion period?

5 MR. FRANKEL: I echo a point that was
6 made a little bit earlier, that I would be
7 hopeful that CMS would, when evaluating PROs in
8 general, are not necessarily specific to CAR T
9 therapy because I think it's broader than that.
10 Dr. Basch had noted that he was skeptical of
11 the concerns of it being a barrier to implement
12 PROs. On the other hand, I can't help but
13 notice that that wasn't the position that was
14 being suggested by multiple stakeholders, both
15 in the background materials we have, the
16 presentations today, and anecdotally. I've
17 heard such a sentiment before, and I would hope
18 that there wouldn't be any barrier to access
19 for patients because ultimately, as was just
20 said, the patients ultimately are the focus

21 here, and if there was a potential barrier for
22 a hospital or clinician to providing the CAR T
23 therapy for a patient, or whatever therapy that
24 might be due to the lack of resources to
25 implement the PRO, whether the CMS would have

261

1 some kind of pathway in place, that that type
2 of concern could be processed and addressed so
3 that those patients wouldn't be detrimentally
4 affected by a PRO being implemented, and that
5 you would just get the gains from PRO, not that
6 kind of unfortunate unintended trickle down
7 consequence.

8 DR. ROSS: I think it's an important
9 point to be cautious. I would be very
10 surprised if there was any hospital or
11 facility, a place that could perform CAR T and
12 couldn't collect PROs, it's just --

13 MR. FRANKEL: That's basically what
14 was presented.

15 DR. ROSS: I understand. And I just
16 wanted to say, Dr. Goss, to my knowledge, and I
17 thought about this, I do not think PROs are
18 required as part of an oncology approval or any

19 other FDA regulatory action. Our FDA colleague
20 has left us, but I just wanted to make sure
21 that was correct.

22 So, we've basically chatted for an
23 hour, we're a little bit ahead of schedule, but
24 I think now is the time when we're going to get
25 ready to call a motion to vote. Is there

262

1 anything formal that has to happen?

2 MS. JENSEN: So, not necessarily
3 formal, but I just want to go on record. We
4 are planning on doing this vote different than
5 we have done in the past, not in the voting,
6 but just that they're not going to record it on
7 their phones or with an electronic device.
8 We're going to, the panel will be saying their
9 name and their vote, we will record it, you
10 will see it behind us just because, we're doing
11 this because we thought we might run out of
12 time and there are 23 questions.

13 I also wanted to go on record to say
14 the official vote is the piece of paper that
15 the panelists give us, so when we are done with
16 this meeting we will take those papers, we will

17 compare with what we have here and make sure
18 that it's accurate before we post it on our
19 website.

20 So before we continue, I want to make
21 sure the panel is okay with moving forward and
22 how we're going to vote, and that you say your
23 name and give us your vote, we'll record it.
24 It's supposed to be put on behind us, are
25 they -- okay, good. So, go ahead.

263

1 DR. GOSS: One question on the ballot.

2 MS. JENSEN: Sure.

3 DR. GOSS: So question number -- are
4 we going to answer each question and go through
5 the vote on each question, because question
6 number two really is contingent on the vote on
7 question one, so is that an average score of
8 2.5 for my scoring, or the average of 2.5 for
9 the group scoring is required before we would
10 vote on number two?

11 DR. ROSS: The group scoring.

12 DR. FEINGLASS: So we will be going
13 through them one by one.

14 DR. ROSS: I think it will be easier

15 to go one by one. I'm going to just read the
16 questions from the beginning to make sure we're
17 all on the same page, give everyone a chance to
18 just think them through, and --

19 DR. YANG: One other clarification.

20 DR. ROSS: Yes, of course.

21 DR. YANG: With respect to section
22 five, question B, the how confident are we that
23 any of those studies in these populations,
24 you're talking about usual care versus a
25 protocol-driven intervention. Is that a

264

1 randomized trial you're talking about
2 predominantly?

3 DR. ROSS: Correct, that is my
4 understanding of the question.

5 DR. YANG: Okay.

6 DR. ROSS: So, on May 16, 2018, CMS
7 opened a national coverage determination on
8 CAR T-cell therapy for Medicare beneficiaries
9 with advanced cancer. As part of this NCD
10 analysis, MEDCAC will review the evidence
11 specific to PROs. We are seeking
12 recommendations from the MEDCAC panel regarding

13 how existing PRO assessment tools should be
14 incorporated into future clinical studies,
15 including future clinical studies on CAR T-cell
16 therapy.

17 I think just as a side note, we've
18 discussed future clinical studies in the
19 oncology space and I think we've come to that
20 as an agreement or expectation that we're
21 talking about oncology studies specifically,
22 including CAR T-cell therapy studies.

23 The MEDCAC will focus on specific PRO
24 assessment tools and important characteristics
25 of a PRO assessment tool.

265

1 Then we are going to assess whether
2 the scientific evidence supports a specific
3 number of outcome assessment studies, design
4 characteristics, study duration, and suitable
5 controls for applying PROs to health outcomes
6 research. This meeting will explore these
7 challenges. And just to note, MEDCAC panels do
8 not make coverage determinations but CMS
9 benefits from their advice.

10 So, voting questions. For each voting

11 question, please use the following scale
12 identifying your level of confidence, with a
13 score of one being low or no confidence, and
14 five representing high confidence, so it's a
15 scale of one to five, and I'll go one by one.

16 Question 1.a. How confident are you
17 that the PRO-CTCAE, the Patient-Reported
18 Outcomes Common Terminology Criteria for
19 Adverse Events, is valid and generalizable to
20 the Medicare population?

21 DR. CUYJET: Al Cuyjet, I'm going to
22 vote three.

23 DR. CHENG: Joe Cheng, vote four.

24 DR. CIVIC: Diane Civic, four.

25 MR. FRANKEL: Naftali Frankel, three.

266

1 DR. GARRIDO: Melissa Garrido, three.

2 MS. ELLIS: Can you excuse me one
3 second?

4 DR. ROSS: Can we start from the
5 beginning?

6 DR. CUYJET: Al Cuyjet, I voted three
7 on question 1.a.

8 DR. CHENG: Joe Cheng, vote four.

9 DR. CIVIC: Diane Civic, four.
10 MR. FRANKEL: Naftali Frankel, three.
11 DR. GARRIDO: Melissa Garrido, three.
12 DR. GOSS: Tom Goss, three.
13 DR. JAMES: Tom James, four.
14 DR. LAMON: Joel Lamon, four.
15 DR. PERISSINOTTO: Carla Perissinotto,
16 four.
17 DR. FEINGLASS: Shami Feinglass,
18 three.
19 DR. GOTTSCHALK: Steve Gottschalk,
20 four.
21 DR. OLSON: Doug Olson, four.
22 DR. YANG: Jim Yang, three.
23 DR. ROSS: Question 1.b, how confident
24 are you that the M.D. Anderson Symptom
25 Inventory is valid and generalizable to the

267

1 Medicare population?

2 DR. CUYJET: Al Cuyjet, I vote four.
3 DR. CHENG: Joe Cheng, three.
4 DR. CIVIC: Diane Civic, three.
5 MR. FRANKEL: Naftali Frankel, three.
6 DR. GARRIDO: Melissa Garrido, three.

7 DR. GOSS: Tom Goss, four.
8 DR. JAMES: Tom James, four.
9 DR. LAMON: Joel Lamon, four.
10 DR. PERISSINOTTO: Carla Perissinotto,
11 three.
12 DR. FEINGLASS: Shami Feinglass,
13 three.
14 DR. GOTTSCHALK: Steve Gottschalk,
15 three.
16 DR. OLSON: Doug Olson, four.
17 DR. YANG: Jim Yang, four.
18 DR. ROSS: Okay, question 1.c. How
19 confident are you that the European
20 Organization for Research and Treatment of
21 Cancer Quality of Life Questionnaire, the
22 EORTC-QLC-C30 core questionnaire, is valid and
23 generalizable to the Medicare population?
24 DR. CUYJET: Al Cuyjet, three.
25 DR. CHENG: Joe Cheng, four.

268

1 DR. CIVIC: Diane Civic, four.
2 MR. FRANKEL: Naftali Frankel, three.
3 DR. GARRIDO: Melissa Garrido, four.
4 DR. GOSS: Tom Goss, five.

5 DR. JAMES: Tom James, five.
6 DR. LAMON: Joel Lamon, four.
7 DR. PERISSINOTTO: Carla Perissinotto,
8 four.
9 DR. FEINGLASS: Shami Feinglass, four.
10 DR. GOTTSCHALK: Steve Gottschalk,
11 four.
12 DR. OLSON: Doug Olson, four.
13 DR. YANG: Jim Yang, four.
14 DR. ROSS: Question 1.d, how confident
15 are you that the University of Washington
16 Quality of Life, UW-QOL, is valid and
17 generalizable to the Medicare population?
18 DR. CUYJET: Al Cuyjet, I voted two.
19 DR. CHENG: Joe Cheng, two.
20 DR. CIVIC: Diane Civic, two.
21 MR. FRANKEL: Naftali Frankel, one.
22 DR. GARRIDO: Melissa Garrido, one.
23 DR. GOSS: Tom Goss, one.
24 DR. JAMES: Tom James, two.
25 DR. LAMON: Joel Lamon, two.

1 DR. PERISSINOTTO: Carla Perissinotto,
2 one.

3 DR. FEINGLASS: Shami Feinglass, two.

4 DR. GOTTSCHALK: Steve Gottschalk,

5 two.

6 DR. OLSON: Doug Olson, two.

7 DR. YANG: Jim Yang, one.

8 DR. ROSS: Question 1.e. How

9 confident are you that the Patient-Reported

10 Outcome Measurement Information System or

11 PROMIS, is valid and generalizable to the

12 Medicare population?

13 DR. CUYJET: Al Cuyjet, four.

14 DR. CHENG: Joe Cheng, five.

15 DR. CIVIC: Diane Civic, four.

16 MR. FRANKEL: Naftali Frankel, five.

17 DR. GARRIDO: Melissa Garrido, four.

18 DR. GOSS: Tom Goss, three.

19 DR. JAMES: Tom James, five.

20 DR. LAMON: Joel Lamon, four.

21 DR. PERISSINOTTO: Carla Perissinotto,

22 five.

23 DR. FEINGLASS: Shami Feinglass,

24 three.

25 DR. GOTTSCHALK: Steve Gottschalk,

1 four.

2 DR. OLSON: Doug Olson, four.

3 DR. YANG: Jim Yang, four.

4 DR. ROSS: Question 1.f. How

5 confident are you that the Electronic

6 Self-Report-Cancer, ESRA-C, is valid and

7 generalizable to the Medicare population.

8 DR. CUYJET: Al Cuyjet, two.

9 DR. CHENG: Joe Cheng, two.

10 DR. CIVIC: Diane Civic, one.

11 MR. FRANKEL: Naftali Frankel, one.

12 DR. GARRIDO: Melissa Garrido, one.

13 DR. GOSS: Tom Goss, two.

14 DR. JAMES: Tom James, two.

15 DR. LAMON: Joel Lamon, two.

16 DR. PERISSINOTTO: Carla Perissinotto,

17 two.

18 DR. FEINGLASS: Shami Feinglass, one.

19 DR. GOTTSCHALK: Steve Gottschalk,

20 two.

21 DR. OLSON: Doug Olson, one.

22 DR. YANG: Jim Yang, one.

23 DR. ROSS: And the final, question

24 1.g, how confident are you that the Functional

25 Living Index for Cancer, or FLIC, is valid and

1 generalizable to the Medicare population?

2 DR. CUYJET: Al Cuyjet, two.

3 DR. CHENG: Joe Cheng, two.

4 DR. CIVIC: Diane Civic, one.

5 MR. FRANKEL: Naftali Frankel, one.

6 DR. GARRIDO: Melissa Garrido, one.

7 DR. GOSS: Tom Goss, two.

8 DR. JAMES: Tom James, one.

9 DR. LAMON: Joel Lamon, two.

10 DR. PERISSINOTTO: Carla Perissinotto,
11 one.

12 DR. FEINGLASS: Shami Feinglass, one.

13 DR. GOTTSCHALK: Steve Gottschalk,
14 one.

15 DR. OLSON: Doug Olson, one.

16 DR. YANG: Jim Yang, two.

17 DR. ROSS: Great. So before we move
18 on to the next section of questions, each panel
19 member does have an opportunity to state for
20 the record why they voted the way they voted,
21 or if they want to explain any of the intention
22 behind their vote.

23 MR. FRANKEL: On just PROMIS, the one
24 trend that stuck out listening to the different
25 stakeholders was, that was the common thread, I

1 think, from across the board, where it was
2 either, even those that aren't very
3 enthusiastic about PROs in general noted that
4 PROMIS was recommended and it was in that
5 context. So there was, if I'm not mistaken,
6 that was, had the broadest consensus among the
7 speakers and different stakeholders today.

8 DR. ROSS: Do any other panel members
9 have comments?

10 DR. YANG: I think it's not only to
11 win, but whether they're adequate in and of
12 themselves that is deeply important so, you
13 know, the range of your vote matters too.

14 MS. JENSEN: Can you state your name
15 for the record for that last comment, please.

16 DR. YANG: Jim Yang.

17 MS. JENSEN: Thank you.

18 DR. GOSS: Just one last quick
19 comment, Tom Goss. For the PRO-CTCAE, I was
20 concerned about the respondent burden there for
21 many items, and I was unclear on how it's
22 useful. It sounded like people are using bits
23 and pieces of it, and I think that when you cut

24 something up that was developed as a whole,
25 that undermines some of the validity

273

1 potentially.

2 DR. ROSS: Are we allowed to take
3 comments at this point in response?

4 MS. JENSEN: One. Go ahead.

5 DR. BASCH: It was actually developed
6 as a library, so each individual item is
7 validated individually, so it's not meant to be
8 used, so actually the purpose is for people to
9 use little pieces of it, you know, anywhere
10 between, you know, one and, you know, as many
11 as you want.

12 MS. JENSEN: What's your name?

13 DR. BASCH: Ethan Basch.

14 DR. ROSS: Thank you, Dr. Basch.

15 Okay. Four of the PRO assessments
16 were rated as a 2.5 or higher. That's the
17 PRO-CTCAE, the MDASI -- is that how you say it
18 -- MDASI, the EORTC-QLQ-C30, and PROMIS.
19 Whoever invented PROMIS, they had a good
20 thought in mind, marketing in mind.

21 So we now move on to question number

22 two, which is, considering those four PRO
23 assessments with greater than or equal to 2.5,
24 we're going to vote whether or not those
25 assessments -- it says combined, but are we

274

1 considering them independently? I'm looking to
2 the CMS team to make sure that the wording is
3 right.

4 (Inaudible discussion.)

5 DR. ROSS: So it will be all four of
6 those.

7 DR. FEINGLASS: Josh, can I clarify
8 one thing?

9 DR. ROSS: It's Joe, but yes.

10 DR. FEINGLASS: Joe, sorry.

11 DR. ROSS: That's fine.

12 DR. FEINGLASS: So my clarification is
13 on age, and one thing we didn't discuss before,
14 I believe that many of these that we've now
15 picked were designed for adults, and so when
16 we're asking this question of not sensitive to
17 difference of age, can we make an assumption
18 there that we're not talking about pediatrics?

19 DR. GOSS: Actually I don't think so,

20 because one of the studies showed that even in
21 the pediatrics, they were Medicare
22 beneficiaries, some 25 percent of the patients
23 had Medicare, presumably because they were
24 disabled because of their illness.

25 DR. FEINGLASS: So the reason I'm

275

1 asking is because it potentially changes some
2 people's votes, because if you're looking at
3 who is sensitive to age, if they're only
4 designed for someone over the age of 18, that
5 impacts it. So can we make, for the purposes
6 of the panel in voting, can we make an
7 assumption that we're looking at focus on the
8 Medicare age?

9 DR. ROSS: Yes, I believe we are
10 making the assumption that we are considering
11 the use for Medicare beneficiaries with cancer.

12 DR. YANG: The other wording,
13 available supporting evidence, do you mean
14 available or sufficient?

15 UNIDENTIFIED PANELIST: Adequate.

16 DR. ROSS: I think it fits our job to
17 say whether it's sufficient.

18 DR. YANG: Should that word be
19 available or adequate? Because available means
20 any evidence.

21 DR. ROSS: Would the CMS team like to
22 respond?

23 DR. SZARAMA: Any evidence.

24 DR. ROSS: Any evidence, okay. Thank
25 you.

276

1 DR. CIVIC: And then like for A, are
2 we adding them all up, or each one has to stand
3 on its own?

4 MS. JENSEN: So it's a single vote.

5 DR. CIVIC: No, I know that, but is it
6 additive or, you know what I mean?

7 MS. JENSEN: Well, it is how the panel
8 wants to interpret it, the questions are the
9 questions, but you're making a single vote,
10 realizing you're taking the four that you've
11 done 2.5 or higher and saying whether, yes or
12 no collectively on that.

13 DR. ROSS: So conceptually it's a
14 challenging exercise, to consider all four PRO
15 assessment tools and whether any, yes-no, will

16 meet these criteria.

17 So, does the panel need me to restate
18 the four that we're voting on, or is everybody
19 on board? Okay.

20 So question A, the characteristic is
21 the breadth of measures in emotional, social
22 and physical well-being, yes-no.

23 DR. CUYJET: Al Cuyjet, yes.

24 DR. CHENG: Joe Cheng, yes.

25 DR. CIVIC: Diane Civic, yes.

277

1 MR. FRANKEL: Naftali Frankel, yes.

2 DR. GARRIDO: Melissa Garrido, yes.

3 DR. GOSS: Tom Goss, yes.

4 DR. JAMES: Tom James, yes.

5 DR. LAMON: Joel Lamon, yes.

6 DR. PERISSINOTTO: Carla Perissinotto,
7 yes.

8 DR. FEINGLASS: Shami Feinglass, yes.

9 DR. GOTTSCHALK: Steve Gottschalk,
10 yes.

11 DR. OLSON: Doug Olson, yes.

12 DR. YANG: Jim Yang, yes.

13 DR. ROSS: 2.B, quick throughput to

14 apply to clinical study.

15 DR. CUYJET: Al Cuyjet, yes, again.

16 DR. CHENG: Joe Cheng, yes.

17 DR. CIVIC: Diane Civic, yes.

18 MR. FRANKEL: Naftali Frankel, yes.

19 DR. GARRIDO: Melissa Garrido, yes.

20 DR. GOSS: Tom Goss, yes.

21 DR. JAMES: Tom James, yes.

22 DR. LAMON: Joel Lamon, yes.

23 DR. PERISSINOTTO: Carla Perissinotto,

24 yes.

25 DR. FEINGLASS: Shami Feinglass, yes.

278

1 DR. GOTTSCHALK: Steve Gottschalk,

2 yes.

3 DR. OLSON: Doug Olson, yes.

4 DR. YANG: Jim Yang, yes.

5 DR. ROSS: 2.C, transferable to

6 community practice settings.

7 DR. CUYJET: Al Cuyjet, yes.

8 DR. CHENG: Joe Cheng, yes.

9 DR. CIVIC: Diane Civic, yes.

10 MR. FRANKEL: Naftali Frankel, yes.

11 DR. GARRIDO: Melissa Garrido, yes.

12 DR. GOSS: Tom Goss, yes.
13 DR. JAMES: Tom James, yes.
14 DR. LAMON: Joel Lamon, yes.
15 DR. PERISSINOTTO: Carla Perissinotto,
16 yes.
17 DR. FEINGLASS: Shami Feinglass, yes.
18 DR. GOTTSCHALK: Steve Gottschalk,
19 yes.
20 DR. OLSON: Doug Olson, yes.
21 DR. YANG: Jim Yang, yes.
22 DR. ROSS: 2.D, measures are not
23 sensitive to differences in age.
24 DR. CUYJET: Al Cuyjet, with the
25 clarification, yes.

279

1 DR. CHENG: Joe Cheng, no.
2 DR. CIVIC: Diane Civic, yes.
3 MR. FRANKEL: Naftali Frankel, yes.
4 DR. GARRIDO: Melissa Garrido, yes.
5 DR. GOSS: Tom Goss, yes.
6 DR. JAMES: Tom James, yes.
7 DR. LAMON: Joel Lamon, yes.
8 DR. PERISSINOTTO: Carla Perissinotto,
9 yes.

10 DR. FEINGLASS: Shami Feinglass, yes.
11 DR. GOTTSCHALK: Steve Gottschalk, no.
12 DR. OLSON: Doug Olson, yes.
13 DR. YANG: Jim Yang, no.
14 DR. ROSS: Question 2.E, measures are
15 not sensitive to line of therapy.
16 DR. CUYJET: Al Cuyjet, yes again.
17 DR. CHENG: Just a point of
18 clarification. So this is a double negative,
19 so we're saying it is sensitive to line of
20 therapy?
21 MS. JENSEN: Correct.
22 DR. CHENG: Then no.
23 DR. ROSS: No, no, the measures are
24 not sensitive to line of therapy. It doesn't
25 matter which line of therapy they're receiving,

280

1 but PRO is still a valid assessment.
2 You're voting no?
3 DR. CHENG: I'm saying it's a double
4 negative, so if I'm saying that PROs are
5 sensitive to a line of therapy, the vote is no.
6 DR. ROSS: Right.
7 DR. CHENG: Then Joe Cheng, no.

8 DR. CIVIC: Diane Civic, yes.
9 MR. FRANKEL: Naftali Frankel, yes.
10 DR. GARRIDO: Melissa Garrido, yes.
11 DR. GOSS: Tom Goss, yes.
12 DR. JAMES: Tom James, yes.
13 DR. LAMON: Joel Lamon, yes.
14 DR. PERISSINOTTO: Carla Perissinotto,
15 yes.
16 DR. FEINGLASS: Shami Feinglass, yes.
17 DR. GOTTSCHALK: Steve Gottschalk, no.
18 DR. OLSON: Doug Olson, no.
19 DR. YANG: Jim Yang, no.
20 DR. ROSS: Okay, 2.F, the measures are
21 not sensitive to comorbidities.
22 DR. CUYJET: Al Cuyjet, yes.
23 DR. CHENG: Joe Cheng, no.
24 DR. CIVIC: Diane Civic, no.
25 MR. FRANKEL: Naftali Frankel, yes.

281

1 DR. GARRIDO: Melissa Garrido, yes.
2 DR. GOSS: Tom Goss, yes.
3 DR. JAMES: Tom James, yes.
4 DR. LAMON: Joel Lamon, yes.
5 DR. PERISSINOTTO: Carla Perissinotto,

6 yes.

7 DR. FEINGLASS: Feinglass, yes.

8 DR. GOTTSCHALK: Steve Gottschalk, no.

9 DR. OLSON: Doug Olson, yes.

10 DR. YANG: Jim Yang, no.

11 DR. ROSS: Question 2.G, measures are

12 generalizable to studies of combinations of

13 therapies.

14 DR. CUYJET: Al Cuyjet, yes, again.

15 DR. CHENG: Joe Cheng, yes.

16 DR. CIVIC: Diane Civic, yes.

17 MR. FRANKEL: Naftali Frankel, yes.

18 DR. GARRIDO: Melissa Garrido, yes.

19 DR. GOSS: Tom Goss, yes.

20 DR. JAMES: Tom James, yes.

21 DR. LAMON: Joel Lamon, yes.

22 DR. PERISSINOTTO: Carla Perissinotto,

23 yes.

24 DR. FEINGLASS: Feinglass, yes.

25 DR. GOTTSCHALK: Steve Gottschalk,

1 yes.

2 DR. OLSON: Doug Olson, yes.

3 DR. YANG: Jim Yang, yes.

4 DR. ROSS: And the last question, 2.H,
5 used in net benefit analysis based on symptom
6 burden and well-being.

7 DR. CUYJET: Al Cuyjet, yes, again.

8 DR. CHENG: Joe Cheng, yes.

9 DR. CIVIC: Diane Civic, no.

10 MR. FRANKEL: Naftali Frankel, yes.

11 DR. GARRIDO: Melissa Garrido, yes.

12 DR. GOSS: Tom Goss, yes.

13 DR. JAMES: Tom James, yes.

14 DR. LAMON: Joel Lamon, no.

15 DR. PERISSINOTTO: Carla Perissinotto,
16 yes.

17 DR. FEINGLASS: Feinglass, yes.

18 DR. GOTTSCHALK: Steve Gottschalk,
19 yes.

20 DR. OLSON: Doug Olson, yes.

21 DR. YANG: Jim Yang, yes.

22 DR. ROSS: Again, I'd like to open it
23 up to give panel members an opportunity to
24 explain their vote or any of the information
25 they want to state for the record.

2 DR. GARRIDO: This is Melissa Garrido.
3 I used a very minimal standard, so if any of
4 the PROs had any of the evidence, I voted yes.

5 DR. GOSS: Tom Goss. I would say the
6 same thing. My assumption was that if in the
7 aggregate either one of them covered it, then
8 the answer had to be yes.

9 DR. JAMES: I'm Tom James with
10 B and C. Specifically we've heard from some of
11 the health systems that there were
12 difficulties, but we heard from others that
13 they have been able to achieve those, so that's
14 why I voted yes, I think it's possible.

15 DR. ROSS: Any other panel members
16 want to make a comment?

17 DR. FEINGLASS: One thing I neglected
18 to state at the very opening of this meeting,
19 which is probably obvious to all industry in
20 here, but my comments reflect the all-industry
21 point of view, they do not reflect any
22 individual company's view.

23 DR. ROSS: Stated for the record.

24 DR. GOTTSCHALK: Steve Gottschalk. I
25 just want to state for D, since I'm the only

1 pediatrician on the panel, I think they are age
2 sensitive, and we need PRO measurements
3 specifically for pediatric patients.

4 DR. ROSS: Okay. We have two
5 discussion questions to address before we move
6 on. Just to state to the panel explicitly, are
7 there PRO assessments other than those listed
8 in question one that have adequately stated
9 evidence-based criteria and processes that you
10 would want to raise, bring to the attention of
11 CMS for further consideration? Then, are there
12 additional desired characteristics other than
13 listed in question two that you believe should
14 be taken into consideration? They're not voted
15 on, these are discussion questions for the
16 panel members, if people have responses.

17 DR. GOSS: So, a couple quick things.
18 I would say -- this is Tom Goss -- I think that
19 the FACT has been used, and it has a number of
20 condition-specific measures that I think have
21 been validated in a variety of cancer types.

22 And I would also say that the EORTC
23 has a number of tumor-specific add-on modules
24 that I would encourage CMS to evaluate them as
25 far as their utility for specific conditions.

1 DR. CHENG: I would just make a
2 comment that we need to look at the PROs in a
3 context of the presenting episode of care. So
4 for example, someone made allusion to using,
5 you know, CAR T therapy in the future for
6 multiple myeloma, but if the patient, for
7 example, had a pathological spine fracture with
8 spinal cord compression or injury, they would
9 certainly need a different type of assessment
10 based on metastatic spine disease or their
11 presenting episode of care, compared to using
12 what we're talking about today as well.

13 DR. CUYJET: Okay, Al Cuyjet, I'll
14 just make a comment, it might sound like a
15 broken record, but I'm looking out at the
16 audience, I might see a couple millennials and
17 no Gen-Z around, so these patient-reported
18 outcome tools have been developed by boomers
19 and older. I think the technology is available
20 to enable us to do a better job of collecting
21 information, and I'll leave it at that.

22 DR. FEINGLASS: Shami Feinglass. The
23 two things I'd add are from a diversity and
24 inclusion standpoint in clinical trials. One

25 thing that was brought up by Dr. Basch, who we

286

1 now know when he stands up at the mic, are the
2 availability of language translations, I think
3 is really important. And as you look at
4 developing, those of you in the room who are
5 developing more patient-reported outcome
6 assessment tools, is there diversity and
7 inclusion in the people that you're looking at
8 when you're putting them, asking them those
9 questions, are those questions relevant to them
10 from a diversity and inclusion standpoint? So
11 to be specific, gender, cultural, where are
12 these people from, what do they identify as,
13 what are their languages, can they actually
14 answer your questions.

15 DR. GOSS: Tom Goss. I would just say
16 that I would also suggest that CMS evaluate
17 whether or not there are licensing fees for any
18 of the measures that we recommend, I think
19 there is some variability of some of them. And
20 I would also say that it would be important as
21 well that, for any of these measures that they
22 would consider, clearly the validity of

23 translations is important as already noted, and
24 I think the -- there was another one, and if I
25 think of it, I'll come back to it.

287

1 Oh, respondent burden. I think you
2 should always have a sense of the time frame it
3 will take to complete it, because the oncology
4 patients may be fatigued or having other
5 symptoms, so what seems like a short time, but
6 it could be a long time, and certainly if
7 someone were going through these symptoms and
8 you were listing all of that, that would be, I
9 think hard.

10 DR. GARRIDO: Melissa Garrido. I
11 would add an adequate variation in the
12 responses, so an absence of other floor and
13 ceiling effects.

14 DR. ROSS: If we have no additional
15 comments, we're going to move on to question
16 three. How confident are you that each of the
17 following assessment intervals are appropriate
18 measurement periods for a valid PRO assessment?

19 DR. CUYJET: Al Cuyjet, question 3.a,
20 answer one.

21 DR. CHENG: Joe Cheng, three.
22 DR. CIVIC: Diane Civic, three.
23 MR. FRANKEL: Naftali Frankel, three.
24 DR. GARRIDO: Melissa Garrido, three.
25 DR. ROSS: Pause, pause, pause, sorry.

288

1 So we're talking about 3.a, the variable
2 event-dependent frequency interval.
3 MS. JENSEN: Yes, Garrido is three.
4 DR. GOSS: Tom Goss, one.
5 DR. JAMES: Tom James, three.
6 DR. LAMON: Joel Lamon, one.
7 DR. PERISSINOTTO: Carla Perissinotto,
8 one.
9 DR. FEINGLASS: Feinglass, one.
10 DR. GOTTSCHALK: Steve Gottschalk,
11 two.
12 DR. OLSON: Doug Olson, three.
13 DR. YANG: Jim Yang, four.
14 DR. ROSS: Again on a scale of one to
15 five, how confident are you in the fixed
16 time-dependency frequency interval?
17 DR. CUYJET: Al Cuyjet, four.
18 DR. CHENG: Joe Cheng, four.

19 DR. CIVIC: Diane Civic, four.
20 MR. FRANKEL: Naftali Frankel, four.
21 DR. GARRIDO: Melissa Garrido, three.
22 DR. GOSS: Tom Goss, four.
23 DR. JAMES: Tom James, three.
24 DR. LAMON: Joel Lamon, five.
25 DR. PERISSINOTTO: Carla Perissinotto,

289

1 five.
2 DR. FEINGLASS: Feinglass, four.
3 DR. GOTTSCHALK: Steve Gottschalk,
4 four.
5 DR. OLSON: Doug Olson, four.
6 DR. YANG: Jim Yang, two.
7 DR. ROSS: Okay, question four, again
8 a scale of one to five. How confident are you
9 that a PRO assessment over the course of the
10 following study duration identifies a
11 meaningful durable treatment effect with a
12 valid PRO? A, six months.
13 DR. CUYJET: Two, Al Cuyjet.
14 DR. CHENG: Joe Cheng, two, but
15 specifically for CAR T.
16 DR. CIVIC: Diane Civic, two.

17 MR. FRANKEL: Naftali Frankel, two.
18 DR. GARRIDO: Melissa Garrido, three.
19 DR. GOSS: Tom Goss, two.
20 DR. JAMES: Tom James, two.
21 DR. LAMON: Joel Lamon, one.
22 DR. PERISSINOTTO: Carla Perissinotto,
23 two.
24 DR. FEINGLASS: Feinglass, two.
25 DR. GOTTSCHALK: Steve Gottschalk,

290

1 two.
2 DR. OLSON: Doug Olson, three.
3 DR. YANG: Jim Yang, three.
4 DR. ROSS: Hold on one second. Okay,
5 question 4.b, 12 months?
6 DR. CUYJET: Al Cuyjet, three.
7 DR. CHENG: Joe Cheng, four.
8 DR. CIVIC: Diane Civic, three.
9 MR. FRANKEL: Naftali Frankel, three.
10 DR. GARRIDO: Melissa Garrido, two.
11 DR. GOSS: Tom Goss, three.
12 DR. JAMES: Tom James, four.
13 DR. LAMON: Joel Lamon, four.
14 DR. PERISSINOTTO: Carla Perissinotto,

15 four.
16 DR. FEINGLASS: Feinglass, three.
17 DR. GOTTSCHALK: Steve Gottschalk,
18 three.
19 DR. OLSON: Doug Olson, four.
20 DR. YANG: Jim Yang, four.
21 DR. ROSS: Question 4.c, 24 months?
22 DR. CUYJET: Al Cuyjet, five.
23 DR. CHENG: Joe Cheng, five.
24 DR. CIVIC: Diane Civic, three.
25 MR. FRANKEL: Naftali Frankel, four.

291

1 DR. GARRIDO: Melissa Garrido, one.
2 DR. GOSS: Tom Goss, four.
3 DR. JAMES: Tom James, five.
4 DR. LAMON: Joel Lamon, five.
5 DR. PERISSINOTTO: Carla Perissinotto,
6 five.
7 DR. FEINGLASS: Feinglass, three.
8 DR. GOTTSCHALK: Steve Gottschalk,
9 four.
10 DR. OLSON: Doug Olson, four.
11 DR. YANG: Jim Yang, five.
12 DR. ROSS: Great. It was my mistake,

13 I forgot to ask after question three so I'll do
14 them together, questions three and four, I want
15 to give panel members an opportunity to explain
16 their voting if they would like to state for
17 the record anything they took into
18 consideration. That's questions three and
19 four. Dr. Yang.

20 DR. YANG: Jim Yang. For question
21 number three, I interpreted that as being based
22 on the individual investigator in the study if
23 you can pick the cogent times for intervals,
24 versus automatic fixed times regardless of
25 treatment. Is that a correct interpretation?

292

1 DR. ROSS: Well, my understanding, and
2 other members can contribute, is that it's a
3 fixed time interval as sort of prespecified at
4 one week, at four weeks, at eight weeks, not
5 necessarily that you could pick it.

6 DR. YANG: Not necessarily picked for
7 every study.

8 DR. ROSS: Correct.

9 DR. YANG: But the other one, that
10 would be something where the investigator would

11 decide what time intervals were the cogent

12 ones, for 3.a?

13 DR. ROSS: Yes, the investigator would

14 decide that this is the right time to ask the

15 PRO.

16 DR. GOTTSCHALK: So for 3.b I

17 interpreted it could be like for the first

18 eight weeks it would be weekly, and then you

19 would go to monthly intervals; is that correct?

20 DR. ROSS: That is correct.

21 DR. GOTTSCHALK: All right.

22 DR. CHENG: Yeah. I interpreted it

23 with the variable event-dependent, it's just,

24 that's the real world situation where the

25 patient would come back to clinic at plus or

293

1 minus X number of days or weeks based on the

2 follow-up time.

3 DR. GOSS: Yeah, I interpreted -- this

4 is Tom Goss -- I interpreted that 3.a has, you

5 define specific events and then you administer

6 the PRO only when those events occur, and if

7 the event doesn't happen you don't really need

8 the PRO. So the occurrence of an event, say

9 neutropenia for example, as opposed to
10 standardized set times, and these are
11 representative set times, but in any given
12 protocol for any particular study, the
13 intervals would be defined based on the
14 research question at hand. You know, it
15 wouldn't always be weekly, it could be
16 variable --

17 DR. ROSS: As long as it's fixed.

18 DR. GOSS: -- at three weeks or four
19 weeks, 12 weeks, you know, 26 weeks, 52 weeks.

20 DR. ROSS: Right. Do people have any
21 other comments they want to make about question
22 four, or additional comments about three?

23 DR. GARRIDO: Melissa Garrido. My
24 diminishing scores with the greater time lines
25 reflect a diminishing confidence that we can

294

1 isolate a treatment effect from confounding
2 factors over time.

3 MR. FRANKEL: On question four, my
4 concern was just the lack of data that's
5 available at this point in terms of durability,
6 you know, it still remains to be seen on the

7 time tables that we're talking about if we're
8 going to see positive or negative effects. So
9 when we're talking about 14 months plus with
10 dramatic potential responses, I just figured
11 that a longer window of time at this point
12 until we see data to say otherwise, is a
13 prudent approach. But obviously, we're basing
14 our opinions on a real lack of data, so I
15 assume this will be reevaluated as more data
16 comes in.

17 MS. JENSEN: Can you state your name
18 just for the record, please?

19 MR. FRANKEL: Naftali Frankel.

20 MS. JENSEN: Thank you.

21 DR. ROSS: Any additional comments
22 from the panel members for the record?

23 DR. CUYJET: I based my decision
24 primarily on that slide that showed the
25 longitudinal course for treatment over time, so

295

1 we have to monitor these patients over the
2 course, there's going to be a lot of variation
3 in this patient population and their responses,
4 so we have to look for the responses.

5 DR. ROSS: Would you please just

6 restate your name?

7 DR. CUYJET: Al Cuyjet, I'm sorry.

8 DR. YANG: This is Jim Yang, I would

9 just like to clarify again. I am not assessing

10 this integrating all units of times equally

11 like, it was mentioned that with a longer time

12 period the effects would diminish if equally

13 valued and weighted, that's not the way I was

14 interpreting it.

15 DR. ROSS: Great. We're going to move

16 on to question number five, again, confidence

17 on a scale of one to five, how confident are

18 you that PRO assessments can provide meaningful

19 results when studied with each of the following

20 control populations, 5.a, patient him/herself,

21 before and after intervention.

22 DR. CUYJET: Al Cuyjet, four.

23 DR. CHENG: Joe Cheng, four.

24 DR. CIVIC: Diane Civic, three.

25 MR. FRANKEL: Naftali Frankel, three.

296

1 DR. GARRIDO: Melissa Garrido, three.

2 DR. GOSS: Tom Goss, four.

3 DR. JAMES: Tom James, three.

4 DR. LAMON: Joel Lamon, five.

5 DR. PERISSINOTTO: Carla Perissinotto,
6 five.

7 DR. FEINGLASS: Feinglass, four.

8 DR. GOTTSCHALK: Steve Gottschalk,
9 four.

10 DR. OLSON: Doug Olson, five.

11 DR. YANG: Jim Yang, three.

12 DR. ROSS: Question 5.B, usual care
13 versus a protocol-driven intervention.

14 DR. CUYJET: Al Cuyjet, four.

15 DR. CHENG: Joe Cheng, four.

16 DR. CIVIC: Diane Civic, four.

17 MR. FRANKEL: Naftali Frankel, four.

18 DR. GARRIDO: Melissa Garrido, three.

19 DR. GOSS: Tom Goss, four.

20 DR. JAMES: Tom James, four.

21 DR. LAMON: Joel Lamon, five.

22 DR. PERISSINOTTO: Carla Perissinotto,
23 three.

24 DR. FEINGLASS: Feinglass, three.

25 DR. GOTTSCHALK: Steve Gottschalk,

1 four.

2 DR. OLSON: Doug Olson, three.

3 DR. YANG: Jim Yang, five.

4 DR. ROSS: And finally, question 5.C,

5 historical control.

6 DR. CUYJET: Al Cuyjet, one.

7 DR. CHENG: Joe Cheng, two.

8 DR. CIVIC: Diane Civic, two.

9 MR. FRANKEL: Naftali Frankel, four.

10 DR. GARRIDO: Melissa Garrido, two.

11 DR. GOSS: Tom Goss, three.

12 DR. JAMES: Tom James, two.

13 DR. LAMON: Joel Lamon, one.

14 DR. PERISSINOTTO: Carla Perissinotto,

15 one.

16 DR. FEINGLASS: Feinglass, one.

17 DR. GOTTSCHALK: Steve Gottschalk,

18 one.

19 DR. OLSON: Doug Olson, three.

20 DR. YANG: Jim Yang, one.

21 DR. ROSS: Great, thank you. Does any

22 panel member want to state for the record their

23 thinking behind their votes?

24 DR. CUYJET: Al Cuyjet. I'll just use

25 my experience as a clinical investigator in the

1 ALLHAT trial, you had to have elevated blood
2 pressure to be enrolled whether you were on
3 treatment of not. At the end of the study, 85
4 percent of our study cohort was at (inaudible)
5 blood pressure, so I am a firm believer in
6 protocol-driven interventions.

7 DR. CHENG: Joe Cheng. For historical
8 controls, I think only a few of the PROs like
9 PROMIS are able to be cross-walked to other
10 historical things like EQ-5D, and so I voted
11 down low because some of the other ones we
12 chose would not have an easy crosswalk ability.

13 DR. FEINGLASS: This is Dr. Feinglass.
14 I agree with Dr. Cheng on that.

15 DR. ROSS: Great. So I believe we
16 have come to the end of our votes. We now have
17 an opportunity for a final open panel
18 discussion and I have only 20 minutes. Each
19 panel member has an opportunity to give their
20 final remarks in a maximum of two minutes if we
21 could just go in order, and you can decline,
22 you don't have to take advantage of this
23 opportunity.

24 DR. CUYJET: This I think is my last
25 MEDCAC meeting, I think I have to take a year

1 break, but it's been a very interesting
2 experience.

3 DR. ROSS: Don't forget your name.

4 DR. CUYJET: Al Cuyjet.

5 DR. ROSS: I think Dr. Basch has left.

6 DR. CUYJET: But it's been great
7 participating in all these discussions because
8 it's such a wide variety of opinions regarding
9 whatever the topic is that we discussed, and
10 it's been very refreshing to be engaged and
11 involved in it, so I want to thank the MEDCAC.

12 DR. CHENG: Joe Cheng. I echo that
13 and thank you for all the insight that you've
14 given me across the various spectra of this
15 topic.

16 DR. CIVIC: Yes, this is Diane Civic.
17 I really learned a lot today and am glad I
18 participated. Just in terms of my own
19 experience and the questions, I think I really,
20 you know, put a lot more effort into answering
21 the first set of questions and looking at the
22 specific instruments, and I think, you know,
23 the other ones were much harder maybe for a lot

24 of us, and based on a lot less data, but we all
25 did the best we could.

300

1 MR. FRANKEL: Naftali Frankel. I just
2 want to first thank everyone for the great
3 presentations and the great discussion amongst
4 the panel members. The only thing that I just
5 wanted to mention in closing is that when we
6 talk about patient-reported outcomes that it's
7 really in the singular that we're talking about
8 patients as individuals rather than a
9 homogeneous population, the patients have
10 independent needs and comorbidities and
11 different responses. And it's very important,
12 I think, that when discussing this general
13 topic of patient-reported outcomes, we have to
14 always focus on the patient as an individual
15 rather than just as a population, and I trust
16 that based on the conversations that we had
17 today and the discussion that CMS will take
18 note of that when evaluating PROs moving
19 forward, that obviously, that it's going to be
20 considered in that light for patients to be
21 empowered with information as well as the

22 clinician through that transparent process, but
23 the patients can learn from each other, but
24 with keeping in mind both from the clinical
25 side as well as the patient side, that

301

1 individuals vary greatly from each other.

2 Thank you.

3 DR. GARRIDO: Melissa Garrido. Thank
4 you to all of the speakers today for very
5 informative and helpful presentations. I think
6 improving PROs is a very worthwhile endeavor.
7 I just think we should use extreme caution when
8 trying to infer any causal relationship between
9 PROs and the various treatments that may be
10 considered.

11 DR. GOSS: Tom Goss. Thanks for
12 letting me participate. It's been very
13 interesting and I appreciate all the
14 presentations made by the experts, they were
15 very informative and helped us to really
16 understand some of these issues in greater
17 detail. I think our work is helpful but
18 probably not sufficient, because there's some
19 open questions remaining, so I hope CMS will

20 remain open to any additional information as it
21 becomes available, but I love the concept of
22 really including the patient voice in patient
23 decision-making and assess access to treatment.

24 DR. JAMES: Tom James. This is my
25 first MEDCAC, so I really appreciated the

302

1 presentations and the opportunity to be here.
2 We all come with our own experiences to this
3 kind of forum. As a primary care physician
4 working with the insurance industry, I work
5 with both individuals and populations, but my
6 experience is in working with Picker Institute
7 and we talk in terms of patient focus, not
8 patient centered, because patient centered is
9 what is being done to them, patient focus is
10 their own preferences. This is a terrific
11 first step for CMS moving toward patient
12 preferences.

13 DR. LAMON: It's my pleasure being
14 here. Reading these questions ahead of time
15 put me out of my comfort zone, and I appreciate
16 all the information. Just as an aside as a
17 practicing physician, I trust that medical

18 education is still training physicians to treat
19 one patient at a time, and all of this needs to
20 come up to conform those decisions to that
21 care. So I would make a comment to CMS or
22 whomever, to say that leaning always on more
23 data for people giving services, we need to
24 lean on the electronic health record people to
25 deliver a record that will allow a seamless way

303

1 that will allow us access to this data, so
2 we're no longer in silos buying all this
3 equipment that's replaced frequently because
4 that is no longer adequate. We've defined what
5 we need and now we must demand that it be
6 provided for us.

7 DR. PERISSINOTTO: Carla Perissinotto.
8 I want to echo the comment from my colleague
9 here about more use of the EHR in information
10 gathering. It's a privilege to be here today,
11 I'm very impressed with just the breadth of
12 expertise and I think that helped to have a
13 very balanced discussion coming from multiple
14 viewpoints. I also want to acknowledge that
15 it's great to include someone who deals

16 specifically with older adults at the moment,
17 so thank you for including me.
18 DR. FEINGLASS: I wanted to thank the
19 patients that are on the panel and in this
20 room. It's important to have your view, it's
21 important to ground us with that view, so thank
22 you for your time and your efforts. In
23 addition, I found it very interesting when we
24 were talking to our colleague at the FDA about
25 the fact that at least in the oncology space

304

1 today, we've heard that no PRO has been used to
2 drive a negative decision related to oncology
3 at the FDA, so that was interesting.

4 Again, I think PROs have promise, real
5 promise, no pun intended there. I think it is
6 a field that has more development to happen in
7 it. We are encouraged in industry by the
8 development of the patient-reported outcomes.
9 As you've heard, many in industry have used
10 PROs in their trials, we think they have a
11 purpose, and as we see going forward how these
12 are used, we're certainly interested in seeing
13 how this field moves forward, so thank you.

14 DR. GOTTSCHALK: Yeah, I would like to
15 echo the other panel members' comments, I also
16 really enjoyed being here, participating, and I
17 would like to thank also the speakers. I
18 probably have three comments.

19 First, my kind of take-home message is
20 that PROs are probably not ready for prime time
21 to be mandated for experimental therapies like
22 CAR T-cell therapy. The second thing, I would
23 really encourage that you really take advantage
24 of CIBMTR. At least if you look in the stem
25 cell transplant arena that really is the most

305

1 robust database to glean outcomes and the
2 infrastructure is there, so that would be at
3 least a starting place, especially since most
4 treating physicians are transplant physicians,
5 of CAR T-cell patients, so they're very
6 familiar with the data requirements and the
7 reporting requirements in this.

8 DR. OLSON: It's been a unique
9 privilege to be able to participate in
10 something like this today and I certainly
11 learned a lot, and it was particularly

12 gratifying to hear so much focus on the
13 patients and what that patient is experiencing,
14 and it's, as I said, gratifying to hear that.

15 DR. YANG: I'd like to thank everyone
16 who presented. I learned that PROs are
17 extremely valuable instruments for acquiring
18 information that cannot be acquired any other
19 way. The follow-on is just as important,
20 though, what interventions will eventuate and
21 can we demonstrate that those have benefits
22 back to the patient who generated those data,
23 and that's the piece that I'm looking for
24 still.

25 DR. ROSS: Then I will conclude by

306

1 just extending my appreciation to all the panel
2 members and speakers who volunteered their time
3 today. Chairing a meeting like this is
4 actually quite exciting in many respects. It's
5 the science of really two emerging fields
6 coming together. The science of PROs has
7 really exploded in the past decade, in no small
8 part thanks to PCORI and the efforts of
9 investigators who appeared here today, as well

10 as the science of cell-based therapy, which is
11 due in no small part to the industry colleagues
12 who are here, and the scientists at NIH who
13 spent, you know, decades doing this work. I
14 think both are now sort of coming to the cusp
15 of actual clinical practice, which is exciting
16 for us. And now as a general interest here
17 among others and the geriatricians, we have to
18 figure out how is this going to, how can we
19 best generate evidence that's going to inform
20 decisions not just in very specialized
21 treatment centers but much more broadly.

22 So I appreciated the opportunity to
23 help steer the conversation, keep everyone on
24 time. Thank you very much.

25 MS. JENSEN: So, let me conclude on

307

1 behalf of CMS and the team, the national
2 coverage determination team that's in the front
3 row, thank you. Thank you for your
4 participation, thank you for all of your
5 comments, they are very appreciated.

6 And Dr. Cuyjet, let me tell you, you
7 don't know yet this is your last MEDCAC,

8 because we might have scheduled another one yet
9 and haven't told you.

10 DR. CUYJET: The sentiment won't
11 change.

12 MS. JENSEN: We do appreciate all that
13 you have done as well on your tenure here. And
14 Dr. Ross, thank you for chairing this. This is
15 your first MEDCAC ever, and we threw him into
16 the deep end to chair it as well, and you have
17 done a fabulous job, so thank you for that.

18 So just for next steps, very quickly,
19 so this is part of our process, part of our
20 national coverage determination process. I
21 don't know if anyone has heard, but we opened
22 up a national coverage determination on CAR T,
23 so this is part of that process. You can go to
24 our website to know, we have a tracking sheet
25 of what the next step is, and our next step is

308

1 the proposed national coverage decision which
2 is due in February, end of February, like
3 February 27th, right? Many of you may know the
4 date. So I think it's due, the proposed is due
5 the end of -- there are several pending but I

6 think the end of February this one is due, so
7 it will be public on or before that date, so
8 that is the statutory due date and so we will
9 meet that. The final, then, will be due 90
10 days after we make the proposed public, so
11 those are our next steps.

12 Now we're going to take all this back
13 and we're going to review everything that the
14 panel has said as part of our analysis, this is
15 one part, it is not the entire part, and we
16 will then start drafting our coverage
17 determination and make that public before the
18 statutory due date or on the statutory due
19 date.

20 So again, thank you very much, and
21 anything else?

22 MS. ELLIS: I just need to collect the
23 pre-score sheets from all of the panel members.

24 MS. JENSEN: So with that, we're
25 concluded, so thank you very much. Safe

309

1 travels, everybody.

2 (The meeting adjourned at 3:10 p.m.)

3

4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25